

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

ZOOM PLATFORM

TUESDAY, DECEMBER 12, 2023

10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Ulrike Luderer, MD, PhD, MPH, Chairperson

Patrick Allard, PhD

Diana Auyeung-Kim, PhD

Laurence Baskin, MD

Carrie Breton, PhD, MPH

Suzan Carmichael, PhD

Aydin Nazmi, PhD, MSc

Isaac Pessah, PhD

Charles Plopper, PhD

STAFF:

Lauren Zeise, PhD, Director

Dave Edwards, PhD, Chief Deputy Director

Carolyn Nelson Rowan, Chief Counsel

Faye Andrews, PhD, Reproductive Toxicology and
Epidemiology Section, Reproductive and Cancer Hazard
Assessment Branch

Esther Barajas-Ochoa, Analyst, Proposition 65
Implementation Program

Erin Delker, PhD, Reproductive Toxicology and Epidemiology
Section, Reproductive and Cancer Hazard Assessment Branch

Amy Gilson, PhD, Deputy Director, External and Legislative
Affairs

APPEARANCES CONTINUED

STAFF:

Kannan Krishnan, PhD, Acting Deputy Director, Scientific Programs

Francisco Moran, PhD, Chief, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Yassaman Niknam, PhD, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

Kiana Vaghefi, Environmental Scientist, Proposition 65 Implementation Program

INDEX

	<u>PAGE</u>
Welcome and Opening Remarks	1
Agenda Item 1: Consideration of Bisphenol S as Known to the State to Cause Reproductive Toxicity (based on female reproductive toxicity)	
- Staff Presentation	9
- Committee discussion	42
Afternoon Session	80
Agenda Item 1, continued: Consideration of Bisphenol S as Known to the State to Cause Reproductive Toxicity (based on female reproductive toxicity)	
- Public comments	80
- Committee discussion and decision	81
Agenda Item 2: Consent Item	
- Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	83
Agenda Item 3: Staff Updates	
- Chemical listings via the administrative listing mechanisms	87
- Safe harbor levels	89
- Other regulations and litigation	89
Summary of Committee Actions	92
Adjournment	93
Reporter's Certificate	95

PROCEEDINGS

1
2 DIRECTOR ZEISE: Good morning, everyone. I'd
3 like to welcome you to this year's meeting of the
4 Developmental and Reproductive Toxicant Identification
5 Committee. The meeting is being held virtually. My name
6 is Lauren Zeise. I'm Director of the Office of
7 Environmental Health Hazard Assessment, or OEHHA. OEHHA
8 is a Department within the California Environmental
9 Protection Agency and is the lead agency for the
10 assessment of health risks posed by environmental
11 contaminants.

12 So our main agenda item today for -- is the
13 consideration of Bisphenol S, or BPS, for listing as a
14 female reproductive toxicant under Proposition 65. After
15 the BPS agenda item, the Committee will also take up a
16 consent item on the section 2700 -- 27000 list of
17 chemicals for which testing has been required, but is
18 inadequate. This is different from the Proposition 65
19 list. And then for the final agenda item, staff will
20 present updates on various Proposition 65 regulatory and
21 other activities.

22 So we'll be taking a 45-minute break for lunch
23 around noon and we'll take a short 15-minute break
24 sometime in the afternoon.

25 This meeting is being recorded and transcribed.

1 The transcript will be posted on OEHHA's website.

2 So regarding public comment, there's going to be
3 an opportunity for public comment on the bisphenol S item.
4 People who wish to comment are asked to join the Zoom
5 webinar. Information on how to join the Zoom webinar is
6 shown on the slide. Go to bit.ly/registerdartic2023
7 without spaces. So that's how you register for today's
8 webinar. And you'll receive a link to join the webinar at
9 the end of the registration process. If you provided a
10 working email address, you'll receive an email within the
11 link -- with the link.

12 So those of you joining by CalEPA webcast will be
13 able to watch the meeting, but you do need to join the
14 meeting by Zoom webinar to speak to provide comment. So
15 when you're requested by the Chair, individuals would
16 raise -- would queue to provide oral comment by using the
17 raise hand function. It should be on the bottom of your
18 screen. When your name is called to speak, you will
19 unmute yourself and then comment. If you would like to
20 present slides and you have not already sent them, please
21 email them now to p65public.comments@oehha.ca.gov. So
22 public comments will be limited to five minutes per
23 commenter.

24 Okay. So with that item done, we'll move to
25 introducing the DART -- the members of the Committee. So

1 I'm pleased to introduce the Committee members that are
2 present. Dr. Irva Hertz-Picciotto is not able to join us
3 today.

4 So Committee, as I introduce you, please turn on
5 your camera, state your name, position, and affiliation.

6 So first, Dr. Patrick Allard.

7 COMMITTEE MEMBER ALLARD: Good morning, everyone.
8 My name is Patrick Allard. I'm a professor at UCLA with a
9 specialty in molecular biology and reproductive
10 toxicology. Happy to be here.

11 DIRECTOR ZEISE: Thank you, Dr. Allard.

12 Dr. Diana Auyeung-Kim.

13 COMMITTEE MEMBER AUYEUNG-KIM: I'm Diana
14 Auyeung-Kim. I'm the Executive Director at Genentech with
15 a speciality in developmental and reproductive toxicology
16 and reproductive and development.

17 DIRECTOR ZEISE: Thank you, Dr. Auyeung-Kim.

18 Dr. Laurence Baskin.

19 Hi, Dr. Baskin. You'll want to unmute. Can you?

20 COMMITTEE MEMBER BASKIN: Yes. Hi.

21 DIRECTOR ZEISE: Great.

22 COMMITTEE MEMBER BASKING: Laurence Baskin. I am
23 from UCSF. I am chief of pediatric urology and a surgeon
24 scientist with an interest in developmental congenital
25 anomalies.

1 DIRECTOR ZEISE: Thank you, Dr. Baskin.

2 Dr. Carrie Breton.

3 COMMITTEE MEMBER BRETON: Hello. I'm Carrie
4 Breton. I'm a professor in environmental health at USC in
5 Los Angeles and I'm an environmental epidemiologist.

6 DIRECTOR ZEISE: Thank you, Dr. Breton.

7 Dr. Suzan Carmichael.

8 Dr. Carmichael, you'll want to unmute.

9 COMMITTEE MEMBER CARMICHAEL: Hi, everybody. I
10 am a professor of pediatrics and OB/GYN at Stanford
11 University and I am a perinatal epidemiologist.

12 DIRECTOR ZEISE: Thank you, Dr. Carmichael.

13 Our committee chair, Dr. Ulrike Luderer.

14 CHAIRPERSON LUDERER: Good morning, everyone.
15 I'm Ulrike Luderer. I'm a professor of environmental and
16 occupational health in the Program in Public Health at the
17 University of California, Irvine. And my area of research
18 is reproductive and developmental toxicology.

19 DIRECTOR ZEISE: Thank you, Dr. Luderer.

20 Dr. Aydin Nazmi.

21 COMMITTEE MEMBER NAZMI: Good morning, everyone.
22 I'm Aydin Nazmi. I'm an epidemiologist and professor at
23 Cal Poly San Luis Obispo.

24 DIRECTOR ZEISE: Thank you, Dr. Nazmi.

25 Dr. Isaac Pessah.

1 COMMITTEE MEMBER PESSAH: Good morning. Isaac
2 Pessah, professor of toxicology emeritus, UC Davis.

3 DIRECTOR ZEISE: Thank you, Dr. Pessah.
4 Dr. Charles Plopper.

5 COMMITTEE MEMBER PLOPPER: Charles Plopper,
6 professor emeritus at UC Davis, area of developmental and
7 cellular toxicology.

8 DIRECTOR ZEISE: Thank you, Dr. Plopper.

9 So welcome, Committee. We really appreciate the
10 time you're talking today to provide your advice and
11 judgment at this meeting. So now I'd like to introduce
12 the OEHHA staff and invite them also to turn their cameras
13 on as I introduce them.

14 Dr. David Edwards, Chief Deputy Director.

15 DR. EDWARDS: Good morning.

16 DIRECTOR ZEISE: Okay. Welcome.

17 Carolyn Nelson Rowan, Chief Counsel.

18 CHIEF COUNSEL NELSON ROWAN: Hi. Good morning.

19 DIRECTOR ZEISE: Dr. Kannan Krishnan, Acting
20 Deputy Director for Scientific Programs.

21 DR. KRISHNAN: Hello. Good morning.

22 DIRECTOR ZEISE: And now from the Reproductive
23 and Cancer Hazard Assessment Branch, Dr. Martha Sandy,
24 Branch Chief.

25 DR. SANDY: Good morning.

1 DIRECTOR ZEISE: Dr. Francisco Moran, Section
2 Chief of the Reproductive Toxicology and Epidemiology
3 Section.

4 DR. MORAN: Good morning.

5 DIRECTOR ZEISE: And now I'll introduce staff
6 from Dr. Moran's section that will be presenting today.
7 Dr. Faye Andrews.

8 DR. ANDREWS: Good morning.

9 DIRECTOR ZEISE: Dr. Erin Delker.

10 DR. DELKER: Good morning, everyone.

11 DIRECTOR ZEISE: And Dr. Yassaman Niknam.

12 DR. NIKNAM: Good morning, everyone.

13 DIRECTOR ZEISE: Okay. And now from our Office
14 of External and Legislative Affairs and Proposition 65
15 Implementation Program, Dr. Amy Gilson, Deputy Director
16 for External and Legislative Affairs.

17 DR. GILSON: Thanks for joining.

18 DIRECTOR ZEISE: Kiana Vaghefi, environmental
19 scientist.

20 MS. VAGHEFI: Hello.

21 DIRECTOR ZEISE: Ester Barajas-Ochoa, analyst.

22 MS. BARAJAS-OCHOA: Good morning.

23 DIRECTOR ZEISE: And now I'd like to turn it over
24 to Carolyn Rowan for some introductory remarks about
25 Bagley-Keene and other legal issues related to

1 participation in this virtual meeting of the Committee.

2 CHIEF COUNSEL NELSON ROWAN: Good morning.
3 Thanks Lauren. I just have a few reminders for the group
4 before we get underway today. First, a reminder that the
5 Bagley-Keene Act applies to this meeting. So please
6 remember that all discussions and deliberations for this
7 group need to be conducted during the meeting, not on
8 breaks, at lunch, or with individual members of the
9 Committee, and that's on or offline. So it includes
10 phone, email, chat and text messages.

11 Next, the charge for this Committee has to do
12 with listing -- with the listing of chemicals for purposes
13 of Prop 65. And the Governor appointed each of you to
14 serve as the State's qualified experts, because of your
15 scientific expertise regarding the reproductive toxicity
16 of chemicals.

17 You've been provided with a copy of the listing
18 criteria that will guide your decisions today and a
19 reminder that the decision to list is evidence based. And
20 the standard is whether the chemical has been clearly
21 shown through scientifically valid testing, according to
22 generally accepted principles to cause reproductive
23 toxicity.

24 So sometimes your comments you might hear
25 information that goes to the impact of a particular

1 listing, for example whether or not a warning might be
2 required or about impacts on certain sectors of the
3 economy. And while that information is helpful in the
4 general sense, it's not part of the criteria for this
5 committee.

6 The Committee is allowed to and often does make
7 decisions based entirely on animal evidence. The chemical
8 that you are considering need not have been shown to be a
9 human reproductive toxicant and you don't need to have
10 information about whether or not human exposures to the
11 chemical are sufficiently high enough to cause
12 reproductive toxicity in order to list a chemical.

13 If you need more time to think about the evidence
14 or discuss it further before making a decision. There's
15 no requirement that you make a decision today. So please
16 feel free to ask me or any other OEHHA staff clarifying
17 questions during the meeting. And if we don't know the
18 answer, we will do our best to find it and get back to
19 you.

20 I'll be here the whole time. If I do have to
21 step away for any reason, Staff Counsel Kristi Morioka
22 will cover for me. There will always be an attorney here
23 if you have any questions.

24 Any questions at this point?

25 Okay. Great. I'll pass it back to Lauren.

1 Thank you.

2 DIRECTOR ZEISE: Thank you, Carolyn.

3 Now, I'll turn the meeting over to our Committee
4 Chair, Dr. Luderer.

5 CHAIRPERSON LUDERER: Great. Thank you, Lauren
6 and Carolyn. And good morning and welcome to all the
7 Committee members and all the members of the public who
8 are joining today. We're now ready to move to the main
9 agenda item for today, which is consideration of bisphenol
10 S as known to the State to cause reproductive toxicity
11 based on female reproductive toxicity.

12 So the first agenda item is going to be a staff
13 presentation by Dr. Francisco Moran, Chief of the
14 Reproductive, Toxicology, and Epidemiology Section. And
15 so Dr. Moran, I'd like to turn the floor and the screen
16 over to you.

17 (Thereupon a slide presentation).

18 DR. MORAN: Well thank very much, Dr. Luderer.

19 Let me start my sharing my

20 Okay. I hope you're seeing the full slides or --
21 not the notes, yes?

22 DR. SANDY: No.

23 DIRECTOR ZEISE: Dr. Moran, we're not seeing them
24 in presentation mode.

25 DR. MORAN: Okay. One second. I'll change it.

1 DR. SANDY: That looks good.

2 DR. MORAN: And now, I don't see it. Well, you
3 see it well, right? Okay.

4 Well, good morning. Let me provide some
5 background on the process of which bisphenol S, or BPS for
6 short, was brought to you today.

7 BPS was brought to the DARTIC for consultation
8 and prioritization in 2020, and this Committee recommended
9 that BPS be placed in a high priority group for future
10 listing considerations. OEHHA selected BPS for
11 consideration for listing, and in March 2022, OEHHA
12 solicited from the public information relevant -- relevant
13 information to the assessment of developmental and
14 reproductive toxicity.

15 Information received at that time has been
16 reviewed and considered by OEHHA in the course of
17 preparing the October 2023 hazard identification document
18 on BPS, which is focused on the evidence of female
19 reproductive toxicity.

20 This document was released for public comments.
21 No public comments were received.

22 The document and the references cited within it
23 were provided to the DARTIC for consideration in the
24 Committee's deliberations at today's meeting.

25 NEXT SLIDE

1 DR. MORAN: Here is the outline for today's
2 presentation. Due to time constraints, this presentation
3 is a brief overview and will not cover every finding
4 discussed in the hazard identification document. I would
5 like to acknowledge that this work was a group effort from
6 the staff in the Reproductive Toxicology and Epidemiology
7 Section

8 NEXT SLIDE

9 DR. MORAN: Here is the chemical structure for
10 BPS and its structural analogy with BPA.

11 NEXT SLIDE

12 DR. MORAN: BPS is used as a color developer in
13 thermal paper, to make some types of hard plastic, and
14 synthetic fibers for clothing and other textiles. BPS may
15 be -- also be used to make colors last longer in some
16 fabrics and it is a common replacement for BPA.

17 As reported by the U.S. Environmental Protection
18 Agency, the national BPS aggregated production volume
19 ranged between one million and 10 million pounds per year.
20 Some increases in BPS productions are associated with
21 regulations on BPA. For example, in 2011, California
22 passed a law that banned the use of BPA in baby bottles.
23 And this was followed by a similar ban by the FDA in 2013.
24 Since that time, manufacturers have been gradually
25 replacing BPA with BPS in consumer products.

1 As such, exposure to BPS has increased. BPS has
2 been detected in cash register receipts, food, personal
3 care products, and environmental samples. Studies from
4 Biomonitoring California show detection frequencies
5 between 64 and 77 percent in the California study.

6 NEXT SLIDE

7 DR. MORAN: Using a systematic approach, we
8 conducted literature searches on the developmental and
9 reproductive toxicity of BPS. In addition, there was a
10 data call-in from March 4 to April 18, 2022. The last
11 comprehensive search was in July 2023. We used HAWC, the
12 Health Assessment Workspace Collaborative, as a tool for
13 multi-level screening of literature search results. For
14 this document, we focused on literature relevant to female
15 reproductive toxicity.

16 NEXT SLIDE

17 DR. MORAN: Absorption of BPS by the oral route
18 is rapid. Dermal absorption is slower compared to the
19 oral route. It is distributed throughout the body and
20 likely undergoes enterohepatic circulation. It has been
21 detected in human cord blood, amniotic fluid, breast milk,
22 and placenta. In rat, it has been reported in several
23 tissues with consistently high concentrations in the liver
24 and kidney. BPS undergoes metabolism by conjugation, both
25 glucuronidation and sulfation, and by hydroxylation, after

1 oral and dermal exposure in animals and humans. It is
2 excreted in urine in animals and humans exposed via the
3 oral and dermal routes. It is also excreted in the bile,
4 feces, and breast milk. The half-life in humans has been
5 reported as approximately seven to nine hours and in
6 rodents half-life ranges from three to 12 hours.

7 NEXT SLIDE

8 DR. MORAN: Now I will present the evidence on
9 BPS and Female Reproductive Toxicity from studies in
10 animals.

11 NEXT SLIDE

12 DR. MORAN: I will discuss the findings in
13 animals exposed to BPS in this order: effects on the
14 ovary, uterus, hormones, reproductive performance, mammary
15 gland development, and puberty onset.

16 NEXT SLIDE

17 DR. MORAN: But first, I would like to start with
18 a brief description of the biology involved in follicle
19 and oocyte maturation in the ovary. Here is a diagram
20 summarizing the events during follicle development, which
21 starts with the germ cells -- germ cells in a nest or cyst
22 and the breakdown of the germ cell nest starting just
23 after birth in mice and in the second trimester in human
24 pregnancy.

25 The primordial follicles that have been -- have

1 three possibilities: follicular atresia, maintenance of
2 primordial status or transition to growth phase.

3 Alterations in the process of germ cell nest breakdown
4 include increased loss of germ cells by formation of
5 multi-follicle oo -- multi-oocyte follicles or germ cell
6 death by apoptosis.

7 NEXT SLIDE

8 DR. MORAN: And here is the oocyte and follicle
9 development process from the primordial to the antral
10 follicle ready for ovulation. This process take about
11 three weeks in mice and about four months in humans. And
12 on the lower panel, there is a schematic representation of
13 the oocyte going through the first meiotic division, a
14 process that takes about 12 hours in mice and around 36
15 hours in humans.

16 NEXT SLIDE

17 DR. MORAN: Now, I will present the effects of
18 BPS in the ovary. Before I continue, I would like to
19 mention that most of the effects reported here on the
20 female reproductive toxicity of BPS are dependent on the
21 doses, the time and duration of the exposure, and the
22 timing of assessment. Most studies used the oral route of
23 exposure. Here, I will indicate the studies using other
24 routes in italics next to the citation as needed.
25 Finally, all data presented are statistically significant

1 unless otherwise described.

2 NEXT SLIDE

3 DR. MORAN: BPS has effects on ovarian structures
4 including germ cells, follicles, granulosa cells, and
5 corpora lutea, and on oocytes including meiotic
6 progression and structural damage, such as spindle
7 malformations.

8 NEXT SLIDE

9 DR. MORAN: Alterations in the -- BPS effects in
10 the ovarian follicle development include: alterations in
11 the timing of germ cell nest breakdown in several studies
12 in mice; decrease in the number of primary, secondary, and
13 antral follicles in mice and hamsters and decrease in
14 preovulatory follicles in chickens; increased number of
15 secondary follicles in mice exposed at a higher dose; and
16 increased number of atretic follicles in mice, rats, and
17 hamsters.

18 NEXT SLIDE

19 DR. MORAN: In the ovary there was a decreased
20 number of granulosa cell layers in mice and a decreased
21 number of corpora lutea in rats and hamsters.

22 NEXT SLIDE

23 DR. MORAN: BPS effects on oocytes in mice
24 include: accelerated meiotic progression, that is the
25 distribution of oocytes in different stages of meiosis was

1 altered compared to controls; and increased number of
2 abnormal oocytes, damaged oocyte structure, and chromosome
3 spindle damage and spindle malformations.

4 NEXT SLIDE

5 DR. MORAN: Ovarian effects in Zebrafish include
6 effects on oocytes, such as alterations in oocyte
7 maturation, and increased oocyte degeneration, also
8 reduced gonadosomatic index was observed in females
9 treated either as adults or as embryos.

10 NEXT SLIDE

11 DR. MORAN: Now, I will present the effects of
12 BPS in the uterus.

13 NEXT SLIDE

14 DR. MORAN: BPS in the rodents -- in the rodent
15 uterus include morphometric changes, such as narrowing of
16 the uterine cavity, reduced endometrial area, and
17 increased number of uterine glands in mice, and
18 histological effects such as the presence of squamous
19 metaplasia and increased cell vacuolization in rats.
20 There was alteration in relative uterine weights in rats
21 at different doses.

22 NEXT SLIDE

23 DR. MORAN: Now, I will present the effect on the
24 endocrine system.

25 NEXT SLIDE

1 DR. MORAN: BPS effects on the endocrine system
2 including decreased levels of gonadotropins in rats, mice,
3 and hamsters. And in zebrafish, BPS exposure resulted in
4 down-regulation in the expression of the gonadotropin
5 releasing hormone, or GnRH, and follicle stimulating
6 hormone subunit beta genes in zebrafish brain tissue.
7 Changes in progesterone levels in several animal models
8 were mixed in direction depending on the dose, time of
9 exposure, and the time of assessment. There was an
10 increase in progesterone receptor expression in the
11 mammary gland in mice exposed during gestation and
12 lactation.

13 NEXT SLIDE

14 DR. MORAN: There was a decrease in estradiol
15 level in serum in mice and hamsters, in plasma in rats and
16 ewes, and in urine in mice at several BPS doses, while
17 other studies observed increased serum estradiol level in
18 mice. There was an increase in estrogen receptor alpha
19 expression in the mammary gland in mice.

20 NEXT SLIDE

21 DR. MORAN: In zebrafish, there was an increase
22 in plasma and whole body estradiol levels, as well as an
23 increased vitellogenin, which is a marker for estrogenic
24 activity. Decreased estrogen receptor alpha and
25 vitellogenin messenger levels were also reported.

1 diestrus.

2 Other effects includes: reduced fertility in
3 rats; decreased fertilization at 10 micrograms per day and
4 increased fertilization at 100 kilograms -- micrograms
5 kilogram per day in mice exposed during puberty; decreased
6 in vitro fertilization rate of oocytes from in vivo
7 treated female mice and decreased blastocyst development
8 rates were also observed; there was also decreased mean
9 number of implantation sites in rats; and in a separate
10 study, an increased rate of post-implantation loss in
11 female rats exposed from pre mating to parturition and
12 beyond.

13 NEXT SLIDE

14 DR. MORAN: BPS effects on placenta include: a
15 decreased ratio of spongiotrophoblast to giant cells area
16 in mice treated for two weeks prior to mating and through
17 gestational day 12.5; a Non-significant increase in
18 placental weight at gestational day 120; and no effects on
19 other placenta parameters in sheep treated with BPS by a
20 subcutaneous injection from gestational day 30 to 100.

21 NEXT SLIDE

22 DR. MORAN: BPS on reproductive performance were
23 also reported in non-mammalian species. In zebrafish,
24 there was a decreased number of eggs during the seven-day
25 spawning period and lower hatching rate and there was an

1 increase in time to hatching of embryos. Another study
2 reported altered female spawning behavior. In *C. elegans*,
3 BPS exposure was associated with a dose-dependent increase
4 in embryonic lethality and a decrease in brood size.

5 NEXT SLIDE

6 DR. MORAN: Now, I will present the effects of
7 BPS on Mammary gland development. Gestational and
8 lactational exposure to BPS in mice resulted in
9 alterations in the development and retention of terminal
10 end buds, development of alveolar buds, and other effects
11 on mammary gland cell proliferation and growth.

12 NEXT SLIDE

13 DR. MORAN: Specifically for growth of terminal
14 end buds there was a dose-dependent increase in the number
15 of terminal end buds on postnatal day 20 and at three
16 months with no effects at puberty. Larger terminal end
17 buds area increased average size of terminal end buds and
18 increased terminal end bud-like structures.

19 NEXT SLIDE

20 DR. MORAN: BPS effects on mammary glands
21 alveolar -- bud in mice include an increased number of
22 alveolar bud and increased incidence of intraductal
23 hyperplasia, increased incidence of mixed cell
24 inflammation at three and 14 months of age, and
25 non-neoplastic lesions, and lobuloalveolar hyperplasia.

1 NEXT SLIDE

2 DR. MORAN: Other effects -- other effects of
3 early life exposure to BPS on mammary gland development in
4 mice include: decreased mammary gland cell proliferation
5 on postnatal day 24 and increased cell proliferation in
6 adulthood at the same dose; there was a decrease in ductal
7 area; increases in the mammary gland developmental score
8 on postnatal day 20, 35, and 56 at various doses; and
9 reduced volume of lobules and increased volume of adipose
10 tissue.

11 NEXT SLIDE

12 DR. MORAN: Now, I will present the effects of
13 BPS on puberty onset.

14 NEXT SLIDE

15 DR. MORAN: BPS exposure during gestation in mice
16 resulted in delayed puberty onset at 20 micrograms per
17 kilo per day and earlier puberty onset at a lower dose of
18 0.5 micrograms per kilo per day. There was a delayed
19 puberty onset was observed in rats exposed perinatally
20 while other studies with similar dosing strategies
21 reported no effects on pubertal timing.

22 This is the end of this section. Thanks

23 NEXT SLIDE

24 DR. MORAN: Now, Dr Yassi Niknam will present the
25 relevant mechanistic data.

1 DR. NIKNAM: Thank you Dr. Moran. In this
2 section, I will summarize the findings from in vivo and in
3 vitro mechanistic studies of BPS. I will focus on
4 findings relevant to BPS effects on ovarian development
5 and maturation of oocytes, effects on the placenta, and
6 effects on the endocrine system.

7 NEXT SLIDE

8 DR. NIKNAM: In vivo mechanistic data relevant to
9 ovarian development and maturation of oocytes include
10 changes in chromosome alignment and spindle formation in
11 mice and C. elegans. Alterations in the expression of
12 genes related to ovarian development and oocyte maturation
13 in mice, chickens, and zebrafish were also reported. Other
14 effects such as oxidative stress in rodent ovaries and
15 apoptosis in mouse oocytes and the germline of C. elegans,
16 and epigenetic effects in mice such as altered histone and
17 DNA methylation in oocytes were observed.

18 In addition, there were changes in signaling
19 pathway genes in mouse oocytes, including Notch2 and
20 Jagged1, which are important in germ cell nest breakdown
21 and primordial follicle assembly.

22 Lastly, lipid profiles were altered in zebrafish
23 ovaries affecting lipids which are important in providing
24 energy for oocyte development.

25 NEXT SLIDE

1 DR. NIKNAM: In vitro studies of oocyte
2 maturation demonstrated abnormal germ cell nest breakdown
3 in cultured newborn mouse ovaries incubated with BPS.
4 This was blocked by co-incubation with tamoxifen, a
5 selective estrogen receptor modulator with the potential
6 for both ER agonism and antagonism, which suggests an
7 estrogenic mode of action for BPS.

8 In pig ovary cumulus oocyte complexes, or COCs,
9 incubated with BPS, there was a concentration-dependent
10 decrease in oocytes reaching metaphase 1 and a failure to
11 resume meiosis, with no effect on viability.

12 NEXT SLIDE

13 DR. NIKNAM: Exposure to BPS in vitro caused
14 alterations in spindle morphology and chromosome alignment
15 in cow metaphase 2 oocytes without altering the proportion
16 of oocytes entering metaphase 2. In pig ovary cumulus
17 oocyte complexes, there were alterations in alpha tubulin
18 assembly with a number of consequences, including
19 concentration-dependent decrease in the number of tubulin
20 filaments, retention of oocytes in the germline vesicle
21 stage, arrest in metaphase 1, and spindle disorganization
22 at all concentrations.

23 NEXT SLIDE

24 DR. NIKNAM: BPS also had effects on follicular
25 cell communication and proliferation. The figures on this

1 slide show the relationship between theca and granulosa
2 cells and the oocyte in follicles at different stages of
3 development. In human and sheep primary ovarian theca
4 cell cultures, BPS caused an increase in theca cell gap
5 junction intercellular communication. Additional
6 experiments indicate that BPS modulates gap junction
7 intercellular communication in these cells through the MAP
8 kinase pathway and partially through the PC-PLC pathways.
9 These pathways are important for proper cell
10 proliferation. In adult sheep ovarian granulosa cells,
11 BPS caused a decrease in cell proliferation. BPS
12 increased Cx37 mRNA levels in cultured cow ovary cumulus
13 cells, but not in cumulus oocyte complexes. Cx37 is one
14 of the connexins that regulates gap junctional cellular
15 communication.

16 NEXT SLIDE

17 DR. NIKNAM: In vivo mechanistic data on the
18 effects of BPS in the placenta come from studies in mice
19 and sheep. In mouse placentae, BPS caused alterations in
20 the expression of several genes, including calmodulin,
21 which plays an important role in calcium signaling. In
22 the same study, there were changes in fatty acid levels
23 and alterations in neurotransmitters that control
24 autocrine and paracrine signaling in the placenta. In
25 sheep placentae, BPS caused alterations in the level of

1 proteins related to the proper function of placentomes, in
2 binucleate cells, which are important in proper maternal
3 circulation and feto-maternal exchange, and in levels of
4 fusogenic genes that are important in placental cell
5 fusion.

6 NEXT SLIDE

7 DR. NIKNAM: In vitro mechanistic studies
8 utilized the placental human cell line HTR-8/SVneo,
9 derived from extravillous trophoblast cells and the human
10 trophoblastic 3A placental cell line CRL-1584. In
11 BPS-exposed HTR cells, there was an increase in cell
12 proliferation, which was mediated through the ER and
13 ERK1/2 pathways. Inhibition of ERK1/2 phosphorylation by
14 BPS induced secretion of the inflammatory cytokines, IL-6
15 and IL-8.

16 In the CRL cell line, BPS altered ABCB1 promoter
17 activity. ABCB1 encodes for an important placental
18 transporter, P-glycoprotein. The P-glycoprotein
19 transporter extrudes its substrates from the trophoblasts
20 back into the maternal circulation protecting the fetus
21 from xenobiotics.

22 NEXT SLIDE

23 DR. NIKNAM: Relevant in vivo data on endocrine
24 system effects include altered levels of hormones after
25 BPS exposure in various species. Here is a brief summary

1 of what was previously presented in detail by Dr. Moran.

2 NEXT SLIDE

3 DR. NIKNAM: In vitro studies demonstrated
4 decreases in progesterone in human and sheep granulosa
5 cells, increase in progesterone in human -- in the
6 human-derived -- human adrenal-derived cell line H295R and
7 no changes in progesterone secretion in cow theca cells.
8 Androgen levels were altered in human H295R cells,
9 including decreases in testosterone, androstenedione, and
10 DHEA. However, there were no changes in androstenedione
11 secretion in cow theca cells.

12 NEXT SLIDE

13 DR. NIKNAM: Estradiol was decreased in human and
14 some sheep granulosa cell studies; increased in cow and
15 some sheep granulosa cell studies; and, in a study with
16 human H295R cells, there were no changes in estradiol or
17 estrone levels.

18 NEXT SLIDE

19 DR. NIKNAM: As presented earlier, BPS induced
20 changes in steroid hormone receptor expression in vivo.
21 These effects included increased progesterone and estrogen
22 receptor alpha expression in mammary glands of mice.
23 There was also a decrease in ER alpha mRNA levels in
24 zebrafish.

25 NEXT SLIDE

1 DR. NIKNAM: BPS affected gene and protein
2 expression of the estrogen receptor in vitro. There were
3 decreases in mRNA expression levels of ER alpha in oocytes
4 and ER beta in pig ovary cumulus-oocyte complexes.
5 However, ER alpha and beta protein levels were increased
6 in pig oocytes. ER alpha and beta mRNA and protein levels
7 were increased in mouse ovaries.

8 In addition, ER beta mRNA levels were increased
9 in human Ishikawa cells without an increase in ER alpha
10 levels. In sheep granulosa cells, ER alpha and beta gene
11 expression were increased. In cow cumulus-oocyte
12 complexes, there was an increase in anti-Mullerian hormone
13 receptor mRNA levels.

14 NEXT SLIDE

15 DR. NIKNAM: A set of key characteristics that
16 are frequently exhibited by exogenous agents that cause
17 female reproductive toxicity and another set that are
18 exhibited by endocrine disrupting chemicals have been
19 identified. The key characteristics, or KCs for short,
20 can encompass many types of mechanistic endpoints and are
21 not constrained to previously formulated hypotheses,
22 allowing a broader consideration of multiple mechanistic
23 pathways and hypotheses. KCs are useful as a tool to
24 identify, organize, evaluate, and summarize relevant
25 mechanistic data.

1 cellular communication. In cow ovary cumulus cells, there
2 was an increase in connexin 37 mRNA expression, while in
3 cultured mouse ovaries, there was abnormal germ cell nest
4 breakdown. This process involves cell-to-cell
5 interactions mediated through the ER and JNK pathways and
6 cell adhesion proteins such as E-cadherin.

7 NEXT SLIDE

8 DR. NIKNAM: And lastly, KC10, alters
9 microtubules and associated structures. In cow oocytes,
10 there were alterations in spindle morphology and
11 chromosome alignment. In pig ovary cumulus oocyte
12 complexes, there was a decrease in the number of tubulin
13 filaments, and in mice, there was incidence of spindle
14 malformation. There was spindle disorganization and
15 chromosome misalignment in oocytes from pig ovaries and in
16 C. elegans.

17 Now, we will break for clarifying questions from
18 the DARTIC members.

19 Thank you.

20 NEXT SLIDE

21 CHAIRPERSON LUDERER: Thank you very much Dr.
22 Moran and Dr. Niknam for those presentation. I will look
23 for raised hands from any of the panel members who may
24 have questions.

25 Let's see. I'm not seeing any raised hands at

1 the moment. So not seeing any clarifying questions from
2 the Panel, I will then turn the presentation back to Dr.
3 Moran who will introduce our next presenter.

4 NEXT SLIDE

5 DR. MORAN: Yes. Okay. Thank you, Dr. Luderer.
6 Now, DR Faye Andrews will present data on female
7 reproductive outcomes examined in epidemiologic studies of
8 BPS.

9 Dr. Andrews

10 DR. ANDREWS: Hi, everyone. My name is Faye, as
11 Dr. Moran introduced me. I'm one of the reproductive
12 epidemiologists on the team and I'll be walking you
13 through the growing body of research of reproductive
14 outcomes examined in epidemiological studies of BPS.

15 NEXT SLIDE

16 DR. ANDREWS: This figure shows the number of
17 studies published by year for BPS. The previously
18 discussed animal and mechanistic studies, shown in gray,
19 were published from 2013 to 2023. Overall, studies on
20 associations between BPS and human outcomes, shown in
21 blue, are more recent. The first human study was
22 published in 2018 and the evidence base is growing. Over
23 half of the included human studies were published between
24 2020 and 2023.

25 NEXT SLIDE

1 DR. ANDREWS: Before discussing the results of
2 the emerging research on epidemiologic studies, we'd like
3 to note several points.

4 We included studies that used cross-sectional,
5 cohort, and case-control study designs. Across studies,
6 limits of detection varied for BPS. Similarly, the
7 proportion of samples with detectable levels of BPS ranged
8 widely, from as low as 15 percent in one study to greater
9 than 95 percent in others. This resulted in different
10 ways researchers analyzed BPS exposure, sometimes
11 continuous or categorical, limiting comparability between
12 studies.

13 Almost all studies included measurement of
14 multiple bisphenols, not just BPS, and on several
15 occasions, other chemical exposures were measured. We
16 noted in the written document when mixture analyses were
17 used and when correlations between BPS and other
18 bisphenols were reported. We note here that correlations
19 between BPS and other bisphenols were generally low, in
20 the range of 0.1 to 0.3.

21 NEXT SLIDE

22 DR. ANDREWS: I'm going to take a step back and
23 show in this graph the percent of samples with BPS
24 detected in epidemiologic studies we reviewed.

25 On the Y axis, each individual study is listed in

1 the order of sample collection dates with the most recent
2 sample collection dates at the top of the graph and the
3 oldest at the bottom. We have also listed on the Y axis
4 the limit of detection in nanograms per milliliter for
5 each study.

6 On the X axis is the percentage of samples,
7 either serum or urine, with detectable levels of BPS,
8 which varied across studies. The limit of detection, or
9 LOD, which is listed here, for BPS varied across studies
10 from as low as 0.002 to as high as 0.20. Three out of the
11 23 studies had less than 30 percent detection frequency,
12 where almost -- most studies were above 70 percent
13 detection frequency.

14 This blue arrow is indicating the introduction of
15 BPA regulations globally beginning in 2011. As
16 manufacturers have phased out BPA, BPS has become more
17 common, likely due to its replacement of BPA in some
18 consumer products.

19 NEXT SLIDE

20 DR. ANDREWS: There were a wide range of female
21 reproductive outcomes examined in the included studies.

22 Outcomes examined in two or more studies included
23 gestational diabetes, polycystic ovary syndrome, or PCOS,
24 thyroid hormones measured during pregnancy, and sex
25 steroid hormones measured during pregnancy and in young

1 females.

2 There were a number of other female reproductive
3 outcomes examined in one study each.

4 NEXT SLIDE

5 DR. ANDREWS: First trimester BPS exposure was
6 associated with gestational diabetes in two studies and
7 with fasting plasma glucose in one study. The first
8 prospective cohort study from China reported higher odds
9 of gestational diabetes with tertile 2 BPS exposure
10 compared to tertile 1. Similar direction and magnitude of
11 association between the highest and lowest tertile of
12 exposure was observed, although this estimate was not
13 statistically significant. Associations were stronger for
14 those pregnant people with a BMI greater than or equal to
15 23 kilograms per meter squared or for pregnancies with a
16 female fetus.

17 Next, a nested case-control study in California
18 reported higher odds of gestational diabetes for tertile 2
19 and tertile 3 of BPS exposure compared to tertile 1
20 exposure. This study stratified by race/ethnicity and
21 reported that associations were strongest for those who
22 identified as non-Asian/Pacific Islander, which included
23 the race/ethnicities of White, Black, Hispanic or other.
24 No elevation of odds with changes in BPS exposure were
25 observed for those who identified as Asian or Pacific

1 increase in BPS was associated with higher odds of PCOS.
2 Similar direction and magnitude of association was seen in
3 tertiles 2 and 3, although these estimates had wide
4 confidence intervals and were not statistically
5 significant.

6 NEXT SLIDE

7 DR. ANDREWS: Five studies examined thyroid
8 hormones during pregnancy, usually measured during early
9 pregnancy, and associations with BPS. Many of the same
10 thyroid hormones were measured across studies with
11 multiple comparisons. Generally, findings were mixed with
12 regard to the direction of associations and the
13 statistical significance of associations.

14 NEXT SLIDE

15 DR. ANDREWS: A prospective cohort study in
16 Puerto Rico reported higher levels of BPS in pregnancy was
17 associated with lower corticotropin releasing hormone, and
18 with no associations observed for sex hormone binding
19 globulin or SHBG, estriol, progesterone, or testosterone.

20 A case-control study located in China reported
21 that women in the control group, or in other words women
22 who did not have PCOS, BPS was associated with higher
23 levels of testosterone.

24 There were two cross-sectional studies using
25 NHANES data from 2013-2016 of female girls and

1 adolescents. Wang et al. 2021 reported quartile 2 vs
2 quartile 1 BPS associated with higher testosterone to
3 estradiol ratio and non-linear associations for free
4 androgen index and SHBG and BPS, respectively.

5 Neither studies reported associations with BPS
6 and other sex steroid hormones.

7 NEXT SLIDE

8 DR. ANDREWS: Now, I'll summarize recent
9 publications from 2020 to 2023 of other female
10 reproductive outcomes, each of which has been examined in
11 one study each.

12 A cross-sectional study measuring antimüllerian
13 hormone, or AMH, and diminished ovarian reserve at an
14 infertility clinic reported lower AMH and higher odds of
15 diminished ovarian reserve for women with higher levels of
16 BPS.

17 A cohort study reported lower gestational weight
18 gain associated with higher levels of BPS.

19 A case-cohort study of Black women reported women
20 who were fibroid free at baseline had lower risk of
21 uterine fibroids with increased levels of BPS over 60
22 months of follow-up. Alternatively, those with existing
23 fibroids at baseline had a 4.1 percent increase in
24 existing fibroids with higher levels of BPS exposure.

25 A cohort study of female adolescents reported

1 Mechanistic data included observations of altered
2 tubulin assembly, spindle malformations, chromosome
3 misalignment, and aneuploidy. Effects on the follicular
4 cell interactions and proliferation were also reported.

5 In humans, higher BPS levels were associated with
6 higher odds of diminished ovarian reserve in one small
7 study and PCOS in two studies.

8 NEXT SLIDE

9 DR. ANDREWS: Here is a summary of the evidence
10 for the effects of BPS on the uterus and placenta.

11 BPS effects the rodent uterus -- excuse me. Let
12 me start that again. BPS effects in the rodent uterus
13 include morphometric changes, such as reduced uterine
14 cavity and endometrial area. There were increased numbers
15 of uterine glands in mice and histological alterations
16 such as squamous metaplasia and increased cell
17 vacuolization in rats and the effects on uterine weights
18 in rats at different doses. There were decreased
19 implantation sites, increased post-implantation loss, and
20 altered ratio of placental cell types in mice.

21 Mechanistic data included changes in placental
22 gene and protein expression, including those involved in
23 autocrine and paracrine signaling

24 In humans higher BPS exposure was associated with
25 higher odds of recurrent miscarriage, increased growth of

1 existing uterine fibroids; and no associations with
2 endometriosis, time to pregnancy, or risk of infertility.

3 NEXT SLIDE

4 DR. ANDREWS: And finally, here is a summary of
5 the evidence for the effects of BPS on hormone levels and
6 hormone receptor expression. These include a decrease in
7 gonadotropins in various animal models, and changes in
8 progesterone levels in rats, mice, sheep, hamsters, and
9 zebrafish.

10 There were decreased estradiol levels in mice,
11 rats, sheep, and hamsters, while other studies observed
12 increased serum estradiol levels in mice at different
13 times and doses. There were also increased testosterone
14 levels in mice and rats and a decrease in testosterone
15 levels in mice at relatively higher doses. There were
16 also effects on gene and/or protein expression of several
17 hormone receptors.

18 No associations between BPS exposure and
19 progesterone or estradiol in humans were seen, although
20 there were changes noted in AMH, which is associated with
21 ovarian reserve, and corticotrophin-releasing hormone.
22 There were inconsistent thyroid hormone associations and
23 higher testosterone in adult women in one study. Two
24 studies reported higher odds of gestational diabetes
25 within specific groups of their populations and one study

1 reported the delay in onset of menstruation.

2 NEXT SLIDE

3 DR. ANDREWS: Thank you very much. This
4 concludes our presentation.

5 CHAIRPERSON LUDERER: Thank you very much, Dr.
6 Andrews, and Dr. Niknam, and Dr. Moran. We now have time
7 for clarifying questions from the members of the
8 Committee. So please raise your hand if you have any
9 clarifying questions and I will call on those who raise
10 their hands.

11 I am not seeing any raised hands.

12 Oh, Dr. Baskin, you have the floor.

13 COMMITTEE MEMBER BASKIN: Fantastic presentation
14 and thank you for the accuracy and the thoroughness. Just
15 for clarification, all these studies which is our preview
16 relate to kind of developmental issues, and we don't
17 really touch on cancer at all. And I'm wondering in your
18 research whether there was any cross-over, whether you saw
19 studies that related both to cancer as well as
20 developmental problems?

21 CHAIRPERSON LUDERER: And you're referring to
22 epidemiologic studies specifically, Dr. Baskin, or any of
23 the studies?

24 COMMITTEE MEMBER BASKIN: Well, also some of the
25 animal studies too when you -- there seems to be a bit of

1 cross-over. And it seems like it was nicely kind of
2 partitioned out.

3 DR. MORAN: Right. Let me start by answering in
4 our systematic literature search, we concentrate, you
5 know, on the developmental and reproductive search as
6 keywords in the search. So normally, we don't get
7 cancer -- much cancer data, but from now and then, there
8 are some publications that I cannot retrieve. I cannot
9 say much now, but it is not in our -- it's not in the
10 result of our search.

11 COMMITTEE MEMBER BASKIN: Thank you.

12 DR. MORAN: Yes.

13 CHAIRPERSON LUDERER: Other questions from
14 Committee?

15 Dr. Sandy, did you want to comment on that as
16 well?

17 DR. SANDY: Yes, just to expand on what Dr. Moran
18 has said. We did not see in the literature that came up
19 in our -- in the search any reporting of cancer in either
20 the animal studies or the epidemiologic studies.

21 CHAIRPERSON LUDERER: And this is maybe a
22 clarifying question for the staff. I assume that the
23 search is set up the way it is because that would be more
24 under the purview of the Carcinogen Identification
25 Committee, is that correct?

1 DR. SANDY: That's correct.

2 DR. MORAN: Yes.

3 CHAIRPERSON LUDERER: Thank you.

4 Any other questions from Panel members or
5 comments from staff?

6 All right, not seeing any.

7 Then we'll now then move on to hear from the
8 individuals designated as initial discussants and so we're
9 going to start with the epidemiology studies, then move on
10 to the animal studies, and then finally the mechanistic
11 studies.

12 So for the epidemiology studies, we have Drs.
13 Breton, Carmichael, Baskin and Nazmi. And so we will go
14 in that order start -- beginning with Dr. Breton.

15 COMMITTEE MEMBER BRETON: Okay. So just to
16 clarify, since I'm starting this off, you just want me to
17 review sort of my thoughts and opinions of these various
18 studies?

19 CHAIRPERSON LUDERER: Yes.

20 COMMITTEE MEMBER BRETON: Okay. Under the
21 categories. So I'll do that.

22 I think I just want to -- I'll just start by
23 saying, you know, it was a very nice epidemiologic review.
24 And just as a reminder, there were a total of 23 epi
25 studies looking at the effects of BPS on the female

1 reproductive system.

2 I think I will start by talking about the studies
3 that looked at BPS in association with gestational
4 diabetes. These were three different studies and they
5 all, in general, found supportive evidence of an
6 association for higher risk of GDM or GDM-related
7 biomarkers, in association with BPS. And in some cases,
8 these effects actually might -- were found to be
9 exacerbated in sensitive subgroups including obese women,
10 pregnancies with a female fetus, and in non-Asian races.

11 And so I wanted to just speak to a couple points
12 about each of the studies themselves, because I think
13 there were some consistencies that I found quite
14 interesting. And the Tang et al. paper, which was in
15 2021, had a population or sample size of about 600 and
16 used some nice methodological statistical approaches using
17 Bayesian kernel machine regression, or BKMR, as well as
18 G-computation analysis to look at mixtures of bisphenols.
19 So as you heard before, a lot of the -- a lot of the
20 studies -- the epi studies looked at mixtures of different
21 BP -- not just BPS alone, but in combination with some of
22 the other bisphenols.

23 And so one of the key points is that their
24 analysis showed a non-linear dose response. So it was
25 a -- there was an inverted U-shape dose response, which I

1 think is important to keep in mind. And in the mixtures'
2 models, BPS had one of the second highest weights of the
3 bisphenols, in terms of what was potentially driving the
4 association with associations of the bisphenol mixtures
5 and GDM risk. And then in a totally, you know, different
6 population, in the Kaiser Permanente cohort, which was the
7 Zhu et al. 2022 paper, that can -- was also like a nested
8 case control study and it had a smaller sample size of
9 about 333, but also used BKMR modeling approach and also
10 showed a non-linear response, so an inverted U
11 relationship with gestational diabetes.

12 So these two different papers showed very
13 consistent results with gestational risk expose -- sorry,
14 gestational diabetes risk and suggested non-linear dose
15 response -- or non-linear relationships. And then the
16 other strength of that study was that the samples were
17 collected before gestational diabetes diagnosis. So I
18 think that's just one of the strengths of the cohort
19 design.

20 And then the last paper was actually a lot
21 larger, the sample size had about 1,800 participants. And
22 although they didn't see overall associations with GDM,
23 they did see strong associations with biomarkers such as
24 fasting plasma glucose, which, of course, is used to
25 diagnose GDM. And I think the one limitation though is

1 they didn't actually look for non-linear relationships.
2 So given that the other papers did see this evidence of a
3 U-shape curve, Zhang paper didn't look for that and they
4 may have missed a relationship as a result.

5 So those are sort of, I guess, my comments or
6 thoughts about gestational -- the evidence for gestational
7 diabetes.

8 Okay. The next topic would be -- or next outcome
9 would be the evidence looking at thyroid --
10 thyroid-related hormones. And there were five papers in
11 total, four that were cohort studies and one that was a
12 nested case control. And I think part of the challenge
13 with this body of literature is that -- and this was
14 alluded to before in the presentation is that all of them
15 seemed to evaluate or to observe slightly different
16 relationships with different thyroid hormones. So it's
17 sort of a mix of results broadly speaking within the
18 context of thyroid hormone data.

19 One of the studies -- I would say the case
20 control study in particular I had some concerns with. So
21 I just want to share those, that for starters, the
22 frequency -- the percent of samples below the limit of
23 detection was actually really high in this study, so at 73
24 percent. So that really limited their ability to do much
25 in terms of statistical analysis. They had to dichotomize

1 their analysis and to basically, you know, present or not
2 present in the participants. In addition, it was a nested
3 case control that was enriched from pre-term births, which
4 complicated some of, you know, the interpretability of the
5 findings. And generally, they did not observe very many
6 associations.

7 The other four studies are cohort studies. And I
8 think probably the most consistent results are either
9 significant or non-significant, but suggested increases in
10 FT4. So that was observed in several of the studies.
11 Again complicating I think some of the interpretation --
12 interpretation from some of these papers is the fact that
13 there is some suggested evidence for non-linear
14 relationships, and many of the studies didn't actually
15 look for that.

16 So for instance, let's see, it was the Huang
17 paper in 2022. So that was a paper that -- conducted in
18 China. It had a reasonable sample size of about 500. And
19 the nice thing about it was that, you know, it had a
20 good -- a good amount of -- their samples were detected
21 above the limit of detection. And so while they looked
22 at -- they looked at thyroid hormones by tri -- by
23 trimester and then they looked at tertiles of exposure,
24 they also conducted a restricted cubic splines analysis,
25 which showed some evidence for suggestion of a non-linear

1 relationship between BPS and free T4, or FT4, which when
2 they looked in other modeling strategies, that
3 relationship didn't necessarily come to light.

4 So I think, in sum, you know, for me, the
5 literature on thyroid hormones is pretty mixed and a bit
6 limited, but those were some of the strengths and
7 weaknesses of those papers.

8 And then there were -- so for sex hormones in
9 pregnancy, there were only two studies. There was not
10 much supportive evidence. There was just the one that
11 found some evidence with a relationship for CRH and
12 kisspeptin. So generally, not a lot of data for sex
13 hormones in pregnancy.

14 Similarly, for sex hormones in young girls,
15 there's pretty limited, maybe you could consider it
16 emerging, evidence from three studies in total looking at
17 different sex hormones. I think the strongest
18 associations -- or sorry, some consistency in associations
19 had to do with estrogen. Again, I think -- there are some
20 studies that looked at evidence for non-linearity and
21 suggests that there may also be a U-shaped curve
22 specifically for BPS and some of the sex hormones. So I
23 think that's important to consider that for studies that
24 only look at linear associations they may be missing some
25 relationships.

1 What else did I want to say about that?

2 Well, so in the paper by Hu et al. in 2022, this
3 was -- had a pretty fairly large sample size. They looked
4 at relationships in several different ways. They had
5 single analyses that showed decreases in several of these
6 hormones. And then they used BKMR models and evaluated
7 non-linearity of the response, and again showed that BPS
8 in this mixtures analysis had one of the strongest weights
9 basically in the model in relationship to estrogen. And
10 then, you know, there were still some limitations, I
11 think, with that study in the sense that there was some
12 lack of clarity around effects being stronger in
13 pre-puberty or puberty periods. And then, yeah, so sort
14 of like the information on pubertal stage was a bit
15 limited.

16 And -- okay. And then the last thing like -- I
17 think the last thing that I wanted to cover was the
18 evidence related to polycystic ovary syndrome. This --
19 for BPS, there are two studies and they all -- both showed
20 an increased association for PCOS with higher BPS
21 exposure. And I think, you know, what was nice is that
22 although these were two very different studies, they're
23 both case control studies, one was smaller than the other,
24 and they did slightly different approaches in their
25 statistical methodology, but they both found fairly

1 consistent elevated risk for PCOS.

2 And in the Zhan 2023 publication in particular,
3 they really tried to look at and address this question
4 through multiple different approaches. And every which
5 way they did it, they saw very strong and consistent
6 results. So their overall logistic regression model
7 showed elevated odds for PCOS in single pollutant models.
8 And then if when they looked by quartiles, there was a
9 clear sort of evidence for a trend, that was statistically
10 significant sort of -- so increasing tertiles, increasing
11 risk.

12 And then when they used a mixtures-based
13 approach, which looked at BPS in the context of other
14 bisphenols, they also found that that BPS effects that
15 actually had the strongest weight in driving that
16 mixture's effect for PCOS. So I thought that although
17 they were only two studies, they were quite consistent in
18 providing some evidence for effects for an increased risk
19 on PCOS.

20 And then as mentioned before, there are a couple
21 other outcomes that have been looked at just on one study
22 here, one study there. So I think that what I just
23 covered with GDM, PCOS, and some of the hormones are
24 really where the strength -- the largest strengths for
25 evidence in -- for the effects of BPS currently exist in

1 the epi literature.

2 And I think that that's pretty much my summary,
3 my take on the literature.

4 CHAIRPERSON LUDERER: Thank you very much for
5 that summary and those -- the examination of the
6 epidemiological literature, Dr. Breton.

7 So next, we'll hear from Dr. Carmichael.

8 COMMITTEE MEMBER CARMICHAEL: Hi, everyone. And
9 thank you, Dr. Breton, for that excellent summary. I
10 think rather than going through, you know, each outcome
11 since Dr. Breton has just done that, I just wanted to
12 primarily highlight a few major concerns I had that are
13 methodologic. And due to these concerns, I think it's
14 difficult to discern any -- very much, if any, conclusive
15 evidence from the epidemiologic literature. So exposure
16 assessment, most of the studies used a single spot urine
17 sample. And the validity for a single sample
18 understand -- to help us understand the actual individual
19 level exposure and potential impact on disease mechanisms
20 is difficult.

21 As noted in some of the background information
22 from OEHHA, the half-life is just seven to nine hours.
23 It's a non-persistent chemical. And there's considerable
24 interindividual variation in metabolism. And there are
25 studies looking at, you know, consistency or variability,

1 up levels in serial measurements. And they support sort
2 of the concern about what you can do with a single sample.
3 So there's some concerns there.

4 Temporality is another major issue that concerned
5 me, because really in order to determine cause and effect,
6 it's really important that the samples be measured before
7 the outcome occurs. And as Dr. Breton pointed out, the
8 GDM studies were a particular exception to that. They did
9 measure -- clearly measure the samples before the outcome
10 occurred, but a number of the studies did not. For
11 example, a cross-sectional study would have measured the
12 sample and the outcome at the same time. There were also
13 several studies that essentially inherently had a
14 prospective design, but when it came to their sampling,
15 they were actually more of a cross-sectional design in
16 that the sample and the outcome were measured in the same
17 sample for example. So that would apply especially to, I
18 think, the thyroid and sex hormone studies.

19 And some even had sort of a reverse collection,
20 so, for example, the PC -- the two PCOS studies. I wrote
21 down also the miscarriage, endometriosis, fecundability,
22 infertility, they knew the outcome before the measurement
23 of the sample.

24 And then just to point -- just to reiterate
25 what's already been said, exposure assessment there was

1 some variability. One concern that was pointed out was
2 the level of detection for BPS varied widely across
3 studies, as did the percent of samples below the LOD. So
4 I think it was pointed out that there were at least three
5 studies that had I think it was greater than 70 or so
6 percent of samples below the limit of detection, but there
7 were also three studies by the -- for the -- in the --
8 that used data from the Generation R study in the
9 Netherlands. And their first, they used measure -- this
10 one actually did have multiple samples, but I guess they
11 varied in the extent to which that was -- that data was
12 capitalized on, but their second and third trimester data
13 had 70 and -- around 70 and 80 percent of samples below
14 the limit of detection.

15 And let's see. And then it's just -- it's hard
16 when there are so few studies for any specific outcome.
17 And I think that really the ones that Dr. Breton pointed
18 out, the exceptions to that would be the GDM with three
19 studies that had -- seemed to have solid designs in a lot
20 of ways and then the thyroid studies.

21 And I think those were -- those were the main
22 points that I wanted to make.

23 Thank you.

24 CHAIRPERSON LUDERER: Thank you, Dr. Carmichael.

25 Let's see, next we'll turn to Dr. Baskin for some

1 comments on the epidemiological studies.

2 COMMITTEE MEMBER BASKIN: Not a huge amount to
3 add from Dr. Carmichael and Dr. Breton. I think the two
4 areas where there was the most evidence that BPS certainly
5 has a deleterious association would be the diabetes
6 study -- the diabetes studies -- the three diabetes
7 studies, two from China, one from California. As Dr.
8 Carmichael pointed out, this -- there was confounding
9 variables in the sense that there's only one measurement,
10 and also the focus wasn't necessarily on BPS. They were
11 measuring BPA as well. But there was -- they were
12 prospective and there was a clear association.

13 The polycystic ovary syndrome had two studies.
14 And as I recall, the -- let's just grab those notes, the
15 Zhan study from 2023 was the one that was most convincing,
16 and the Jurewicz -- I'm not pronouncing that right, but
17 the study from Poland showed less of an association. But
18 they were both prospective as I recall, but they knew -- I
19 mean, they basically had patients who had polycystic ovary
20 syndrome who they were measuring as opposed to, you know,
21 they basically knew the outcome.

22 The other studies were either a single study or
23 in the case of the thyroid hormones during pregnancy was
24 kind of all over the map, so I wasn't really sure what to
25 make of it. So quickly summarizing the major concerns

1 were in the area of the association with gestational
2 diabetes and polycystic ovarian syndrome with the BPS
3 exposure.

4 CHAIRPERSON LUDERER: Thank you, Dr. Baskin.

5 Then next, Dr. Nazmi -- asking Dr. Nazmi if you
6 have any additional comments you would like to summarize.

7 COMMITTEE MEMBER NAZMI: Yeah. Thank you very
8 much. I'm going to attempt to just integrate and not be
9 redundant. I agree with the points raised by the other
10 Committee members.

11 Some of the limitations of this body of work, I
12 think were pointed out really well by Dr. Carmichael. And
13 I won't rehash. I will, however, comment really briefly
14 on this body in terms of the criteria for -- pardon me,
15 for causality that seemed more convincing. And in the way
16 I read it, there is -- there is a fair amount of
17 consistency. And in terms of, I think, specificity,
18 perhaps we have a little bit less convincing evidence.

19 I do believe that the criteria for plausibility
20 and coherence are relatively strong in this body of work.
21 And so the way I read it, in summary, there is a little
22 bit of mixed evidence depending on some of the issues
23 previously raised in terms of measurement, in terms of
24 study design. But given that it's a relatively emerging
25 body of literature, the thing that is most resonating with

1 me is the criteria for consisting -- consistency and
2 plausibility. That's all I have. Thank you.

3 CHAIRPERSON LUDERER: And can I just clarify --
4 I'll start with a clarifying question, Dr. Nazmi. So the
5 evidence for coherency -- for coherence and plausibility,
6 is that in a particular subset of those studies that you
7 were referring to?

8 COMMITTEE MEMBER NAZMI: Summarizing the 23
9 studies -- the 23 human studies -- or hold on.

10 CHAIRPERSON LUDERER: I thought -- I was
11 wondering if it was a particular -- one of the endpoints
12 that you thought was more consistent.

13 COMMITTEE MEMBER NAZMI: Yeah. I think I'm
14 referring more generally.

15 CHAIRPERSON LUDERER: Okay. All right. Thank
16 you.

17 Are there any other -- any other questions or
18 discussion from other committee members regarding those
19 epidemiological studies. We're going to have more time
20 for discussion later, but just -- since we've just heard
21 the presentations of the epidemiological studies, are
22 there any questions or comments from other members or from
23 the -- Dr. Breton, Carmichael, Baskin, or Nazmi, other
24 comments?

25 Okay. Not seeing any raised hands then at the

1 moment, we can move on to discussion or presentation of --
2 by the Committee members of the animal studies. And we'll
3 start with Dr. Auyeung-Kim.

4 COMMITTEE MEMBER AUYEUNG-KIM: Hi. So thank you
5 again for OEHHA staff for the preparation of the document
6 as well as a nice presentation that was presented earlier
7 in this meeting that reviewed the 43 in vivo studies that
8 evaluated bisphenol S for reproductive -- as a
9 reproductive toxicant -- a female reproductive toxicant.

10 So most -- as indicated, most of the studies that
11 were conducted in this past decade, mostly the latter half
12 as a result of the increased BPS used as an alternative
13 for BPA. It is a very complex data set, because there was
14 a big mixture of studies in different species, different
15 time periods of exposures, you know, some of them being
16 pre-mating all the way to multi-generational different dose
17 routes, oral gavage being the primary, but there was also
18 some sub-q and IP injections.

19 A number of animals on studies varied from I
20 think it was like six to 24 per group. And there were
21 different dose levels. Some of them were in the estimated
22 human relevant range to high doses as high as, you know,
23 1,000 milligrams per kilogram.

24 The research was conducted by academic labs with
25 data published in high-impact peer-reviewed journals. And

1 then also some studies were conducted on behalf of the
2 chemical companies at contract research organizations. So
3 the -- in general, the data were of adequate quality for
4 assessment.

5 And so, you know, with the different data sets,
6 I'm going to talk in general that, you know, in some cases
7 the results seemed to be mixed, you know, in the ovary
8 weight, uterine weight, progesterone, testosterone levels,
9 puberty onsets, estrous cycles, and mammary gland
10 development. And that was largely due to, you know, the
11 dose levels that were used, as well as the period of
12 exposure and the different species.

13 And so, in particular, I think like I looked at,
14 you know, some of the studies that were conducted by -- at
15 the contract research organizations and which were also
16 used by the European Food Safety Authority in their
17 technical documents, where, you know, Sprague-Dawley rats
18 were given BPS by oral gavage for 90 days. In this
19 extended one generation reproductive toxicology study, the
20 NOEL was -- the NOEL was determined to be 20 mg per kg,
21 the lowest dose tested. And that was due to the increased
22 post-implantation loss of the F1 progeny at the dose level
23 of 60 mg per kg per day.

24 Also, for all like were in male and female, the
25 F1 and F1B parent, there was a significantly higher rate

1 of intrauterine mortality to the 60 mg per kg. That was
2 considered adverse. However, in a study conducted in the
3 same lab in pregnant Wistar rats dosed at higher doses up
4 to 300 mg per kg by oral gavage for a shorter duration
5 from GD 6 to GD 19. And the evaluation was done at GD 20,
6 there was no effects on the post-implantation loss. And
7 that was the BASF study 2014.

8 But the same -- and the same was also seen in
9 mice -- or also in mice, C57 black mice. They were given
10 a lower dose of 0.2 mg per kg by oral gavage in a mouse
11 study, and there was no effect. So, you know, it -- this
12 is just an example of some of the things that we were
13 seeing effects, but not consistent in all the studies.

14 And so I think, you know, based on the studies,
15 you know, it was shown that BPS does -- in the animal
16 studies that BPS is -- has been shown to affect the female
17 reproductive parameters. In some cases, it may not be in
18 the same direction, but it's clearly showing that there is
19 some effect. And so -- and, you know, the data -- the
20 conflicting data would be because of, you know, looking at
21 the different parameters that were -- or the different
22 species and dose duration.

23 So I think it does show some evidence that there
24 is some effects in these animal studies.

25 CHAIRPERSON LUDERER: Thank you very much, Dr.

1 Auyeung-Kim.

2 Next, move on to Dr. Plopper to summarize his
3 perspective on the animal studies.

4 COMMITTEE MEMBER PLOPPER: Okay. Thank you.

5 Yeah. I won't go -- I have the same perspective as Dr.
6 Auyeung-Kim, but I would say that I thought for some of
7 the organs that were evaluated here, there was very clear
8 evidence that exposure to BPS was having a very negative
9 impact on their function. And the ones that I would -- I
10 was most concerned about were the ones in the ovary,
11 which -- of course, the ovary goes through at least four
12 or five different processes of development up to
13 generating oocytes that then can be fertilized and then
14 the ovary has another phase after that.

15 There was an extensive number of studies there.
16 And the response, as she pointed out, depends on when the
17 exposure was and the doses. And the doses were quite
18 range, that was my concern is they go off of milligrams
19 and down to micrograms plus large amounts. But it seemed
20 to me that there was clearly a negative impact on the
21 development and maturation of oocytes from the very
22 beginning, prenatally, to puberty, and then into
23 reproductive phases.

24 And I would say there -- I was not too concerned
25 about the fact that not all of these studies for the

1 uterus that did not show an effect on the weight. I
2 thought that was a relatively general assessment and it
3 wasn't surprising to me that there were no weight effects
4 on some of them. But I thought it was interesting that
5 the no effect studies that also did some analysis of the
6 micro anatomy of the uterus found that there were quite a
7 few marked changes, including some things that seemed like
8 they might even be precancerous.

9 I think the same comments for the mammary gland,
10 there were obviously disruptions there. It was a concern
11 to me that we're finding the changes in such structure,
12 numbers, and densities is terminal end buds and sometimes
13 it was increased, sometimes less. And I think that just
14 had to do with the dose and the exposure pattern. I
15 thought it interesting that studies that did very limited
16 exposure to the female -- pregnant female in gestation --
17 early in gestation had less effect than ones that did
18 their exposure in the female -- in the mother, so that it
19 would go to the fetus all the way through to parturition,
20 or birth, or later.

21 I would say one other thing, there was -- I would
22 not be concerned about the fact that there appeared to be
23 no effect of this compound in sheep in ewes and I will say
24 having dealt with ewes for a number of years as a -- in
25 teaching in the veterinary school, they -- it's a ruminant

1 for one thing, and it has a very thick subcutaneous
2 adipose band. And all these -- the studies use
3 subcutaneous injections. And I guess I -- since they
4 didn't measure what actual concentration or dose was in
5 the -- either in the plasma or in the urine, it's not
6 really clear. I would be very surprised if this compound
7 actually made it out at a high enough dose or over a long
8 enough term to have an effect.

9 The other thing to remember is that for ruminants,
10 the metabolism of the liver, which would be the breakdown
11 organ for most of these compounds and the vascular pattern
12 would be quite different, so -- than it is for
13 non-ruminants. Otherwise, I felt that there was fairly
14 consistent evidence that there were some reproductive
15 organs that were definitely -- had -- that this exposure
16 had a negative impact on. And I guess with that, I'll
17 stop.

18 More questions or comments. It obviously had --
19 maybe we'll hear from the mechanistic folks, but it
20 obviously had some negative impact on the critical
21 hormones that were involved in the developmental process
22 for the -- for the organ -- reproductive organs.

23 I don't know if that's -- that's probably
24 sufficient. If somebody has questions, I'll be glad to
25 answer them.

1 CHAIRPERSON LUDERER: Thank you very much, Dr.
2 Plopper.

3 I'm not seeing any raised hands at the moment, so
4 I'll go on and add my comments on the animal studies. I
5 agree there was a very -- there was a wide variety of
6 different model animals that were used, developmental
7 stages when the dosing occurred, huge range of doses. And
8 so I'm going to focus, sort of like Dr. Plopper did, on
9 two kind of the groups of outcomes, one of which was the
10 mammary gland studies and the other was the -- you know,
11 kind of general, the ovary, the studies that looked at
12 different ovarian endpoints.

13 With the ovarian studies, there were a lot of
14 different issues that I noticed with some of those studies
15 that I kind of wanted to highlight, since I -- we haven't,
16 I think, talked that much about some of the strengths and
17 weaknesses. So the -- several of the studies at -- when
18 they were measuring endpoints such as looking at meiosis
19 in -- affects on meiosis in oocytes. They were using
20 multiple oocytes from the same animal and there was no
21 statistical adjustment for that, so that was something
22 that I noted in quite a few of the studies.

23 They did not adjust of the fact -- for possible
24 correlation within the dam coming -- the oocytes coming
25 from the same animal. And many of the studies didn't

1 comment on randomization or whether the investigators were
2 blinded among the ovarian studies, and as well as also
3 noted in our document and many of the studies didn't
4 comment on where the BPS came from or what his purity was.

5 Nonetheless, there were a few studies that even
6 with those -- those issues related to the studies. There
7 were -- there were some consistencies, in that multiple
8 studies found effects of BPS exposure during gestation,
9 the neonatal period, the pubertal period, and adult period
10 on ovarian follicle development -- follicle initial
11 formation, and the cyst break -- during cyst breakdown.

12 And I just wanted to highlight a couple of the
13 studies. So the Nevoral et al. study is one was from
14 2021. That was a neonatal exposure and the other one
15 pubertal exposure. I thought those didn't have in general
16 some as many of the issues that I talked about. And they
17 used doses that were in the range that were relevant to
18 humans. So in the neonatal study, the lowest dose was 0.1
19 microgram per kilogram. And they used a route that was
20 relevant. So drinking water exposure in both of their
21 studies. They observe -- they dosed the females from the
22 day of birth through postnatal day 15, so during
23 lactation. And they observed effects on -- on the -- the
24 maturation -- oocyte maturation with spindle mis-assembly
25 and chromosomal misalignment, as well as decreased in

1 repressive histone mark H3K27me2.

2 And they also observed similar findings. That
3 was when in vitro matured and they observed similar
4 findings with in vivo matured oocytes. And there were
5 also similar findings in the pubertal study by the same
6 group with -- related to the -- here, they looked at
7 follicle numbers. So in this study, they dosed pubertal
8 mice and they also had a wide range of doses including
9 some that were human relevant. And they found
10 dose-dependent linked decreased primary, secondary, and
11 antral follicle numbers. They also noted again increased
12 spindle malformations and abnormal oocytes at several of
13 the doses.

14 Then for the mammary gland studies, these were
15 done by two groups. And those studies were, I thought,
16 among the most -- the strongest studies in terms of how
17 they were done. They didn't have the issues that I
18 mentioned, you know, they did appropriate statistical
19 analyses. If there was more than one offspring from the
20 same dam, they adjusted for that for example in the Tucker
21 et al. study. They clearly stated that they randomized
22 the animals, that the investigators were blinded to
23 treatment, and they used multiple doses. And all of those
24 studies found some different effects because they were, I
25 think, as Dr. Plopper mentioned, dosing during different

1 windows of mammary gland development.

2 But some of the things that I found particularly
3 striking were the retention of the terminal end buds in
4 adulthood in both the Tucker et al. study and the Kolla
5 study, so from two different groups, as well as evidence
6 of hyperplasia later in life and inflammation in the
7 Tucker et al. study, and also in another -- the study by
8 Kolla and Vandenberg.

9 So those were kind of -- you know, even though
10 there were many more studies than that, those were the
11 ones that I -- that's where -- those -- that's where I
12 thought the data were the strongest within this data set.
13 And so I will end there. I will then -- I'd like to see
14 if there are any questions at all or comments from any of
15 the Committee members relating to the animal studies.

16 Dr. Breton.

17 COMMITTEE MEMBER BRETON: Hi. Yeah, I just had
18 two questions actually. And maybe I missed this, but is
19 the -- would you say for the majority of the studies, was
20 the dosing generally representative of human ranges like
21 for most of them?

22 CHAIRPERSON LUDERER: The ones that I was
23 focusing on, I would say yes. The ones that I -- you
24 know, but there was -- as I said, there was a huge wide --
25 very wide range of doses from, you know 0.1 or less

1 than -- less than one microgram per kilogram up to, you
2 know, hundreds, even a thousand, I think, milligrams per
3 kilogram within the literature, because there were so many
4 studies.

5 COMMITTEE MEMBER BRETON: Um-hmm.

6 CHAIRPERSON LUDERER: So -- yeah, so there was an
7 extremely wide range of doses that were used. But some --
8 the lower ones were -- I would say are human relevant.

9 COMMITTEE MEMBER BRETON: The other question I
10 had -- which I was struck by the comments about sort of
11 different doses having sometimes opposite or different
12 effects. And that made me wonder about again sort of a
13 U-shaped dose response --

14 CHAIRPERSON LUDERER: Yes.

15 COMMITTEE MEMBER BRETON: -- that I think the
16 human evidence is really --

17 CHAIRPERSON LUDERER: Yes.

18 COMMITTEE MEMBER BRETON: -- you know, I was
19 really struck by that.

20 CHAIRPERSON LUDERER: Yes.

21 COMMITTEE MEMBER BRETON: And so is there -- did
22 anybody do that in any of the animal studies?

23 CHAIRPERSON LUDERER: That was commented on in --
24 or not commented on, but observed in multiple studies. So
25 some people commented on it and other studies it was

1 observed that it was not always the highest dose that had
2 the greatest effect in general.

3 COMMITTEE MEMBER BRETON: Yeah.

4 CHAIRPERSON LUDERER: Yes, very much so.

5 COMMITTEE MEMBER BRETON: Thank you.

6 CHAIRPERSON LUDERER: See if other -- if Dr.
7 Plopper or Dr. Auyeung-Kim have a different Perspective on
8 that, but -- Dr. Pessah, I see your hand is raised.

9 COMMITTEE MEMBER AUYEUNG-KIM: I don't have a
10 different perspective.

11 CHAIRPERSON LUDERER: Whoops. Yes.

12 COMMITTEE MEMBER AUYEUNG-KIM: I just want to say
13 I didn't have a different perspective than what you
14 provided, Dr. Luderer.

15 CHAIRPERSON LUDERER: Thank you. Okay. Thank
16 you.

17 Dr. Pessah.

18 COMMITTEE MEMBER PESSAH: So, you know, one of
19 the things that I'm trying to sort of get in my --
20 straight in my own mind in the mechanistic studies was how
21 the concentrations used to elicits effects relate to whole
22 animal burden levels, either in plasma, serum, you know,
23 urine.

24 CHAIRPERSON LUDERER: Um-hmm.

25 COMMITTEE MEMBER PESSAH: And I looked at a

1 number of the animal studies and I couldn't find anyone
2 that measured them. Did you find anyone that measured the
3 levels?

4 CHAIRPERSON LUDERER: Not -- no, not that I can
5 recall. It's possible that there were some. Dr. Plopper,
6 I see you turned your camera on.

7 COMMITTEE MEMBER PLOPPER: No. I was just going
8 to agree with him. One of my big concerns and I -- that I
9 should have brought up was the fact that we don't really
10 know what these concentrations are that are actually
11 circulating when they're -- when they're used. And like
12 Dr. Luderer said, some of these are what you would
13 consider a sledge hammer into the studies that I'm used to
14 dealing with. And I think that is one of the big
15 concerns. And that's why I brought it up for the --
16 especially for the sheep, because I really don't think
17 that there -- to say that there was a negative effect in
18 sheep, I would want to know just exactly what was
19 circulating.

20 And I think it would have been strong, if that
21 had been the case for all of these animal studies, because
22 then we would have been able to address these issues like
23 what looks like the U-shaped response. It's very strange
24 to run through a very detailed study and depending on the
25 dose, and the time frame, you can get a different response

1 looking at the same time course. So I just wanted to say,
2 no, there weren't any, and that was a big disappointment.

3 COMMITTEE MEMBER PESSAH: Thank you.

4 CHAIRPERSON LUDERER: Okay. Let's see. I'm not
5 seeing at the moment any other raised hands, so I think
6 then we can turn to the mechanistic studies. So segue to
7 Dr. Allard and Dr. -- and then Dr. Pessah.

8 Dr. Allard

9 COMMITTEE MEMBER ALLARD: Yes. Thank you, Dr.
10 Luderer. So I just want to frame how I'm going to
11 approach this review here, which is that my goal was not
12 to compile every potential set of evidence and relate all
13 potential modes or mechanisms of action that BPS has been
14 implicated in, but instead what I try to do is use an
15 adverse outcome pathway framework and consider the studies
16 that I felt aligned with each other into a biologically
17 plausible mode or mechanism of action. And so of the
18 studies that were presented and also, of course, looking
19 outside of the studies present in the hazard
20 identification document, what -- there were four points I
21 wanted to make.

22 And I'll start with the first point, which was
23 really the set of evidence that I found to be the most
24 compelling, and that's -- and that was already mentioned
25 on the -- on the animal evidence review that we just

1 heard, which was the effect on meiotic maturation. So
2 again that to me is the strongest set of evidence in terms
3 of a mode of action of BPS. I think the mechanism of
4 action is still a little bit unclear. But the reason why
5 I felt it was so compelling is because, first, there were
6 many studies that showed a failure to complete meiotic
7 maturation. Not all studies agreed on that, but overall I
8 felt -- well, I saw that many studies reported this arrest
9 during meiotic maturation. So as oocytes transition
10 between prophase I and metaphase II.

11 This was associated with an abrasion in spindle
12 morphology and chromosomal alignment, which is very
13 concerning, because that can be the genesis for
14 aneuploidy. And what was really not worthy as part of the
15 studies was the fact that these effects were observed. We
16 were just talking about concentration, but these effects
17 were observed sometimes at very low surprisingly low BPS
18 concentrations often in the nanomolar range or even lower.
19 And so in this Campen et al. study with cow oocytes. They
20 even went to down femtomolar levels.

21 The other part that was noteworthy to me was the
22 fact that these effects were described in multiple
23 species. We also heard some concerns about that on the
24 animal side by cow, pig and mouse, when performing these
25 studies. And I should say that these studies are -- I

1 think most of them are in vitro studies. So you led
2 those -- you collect the ovaries, you release the oocytes,
3 and then you can add the compounds in these arrested
4 oocytes and then monitor what's happening to them.

5 But, you know, this is -- this is a tractable
6 system to look at the impact of that final stage of
7 oogenesis, and again reported in multiple species using
8 these kinds of assessment. And I think it's important to
9 also put this back into context. This often comparison of
10 BPS with -- it's perhaps more famous bisphenol BPA. And
11 that similar to BPS, BPA has been described since 2003 in
12 the work of (inaudible) as impairing the spindle
13 morphology and meiotic maturation. So seeing that
14 described in multiple species at really low concentration
15 akin to what was observed with BPA was to me very
16 significant.

17 We may not have a mechanism of action. That's
18 obvious at least to me, but at least it's noteworthy that
19 estradiol and synthetic estrogen like diethylstilbestrol
20 also have been shown in a variety of species to also alter
21 spindle formation and chromosomal alignment. So all these
22 studies in my mind align.

23 So in terms of mechanism of action though, the
24 part that is often mentioned the most is it's action on
25 the nuclear hormone receptors, especially its potential

1 estrogenic modulation or just agonist activity on the
2 estrogen receptors. You have alpha and you have beta.
3 And many studies, BPS is often compared to BPA and other
4 BPA analogs.

5 And I think the study that I relied the most on
6 for this kind of investigation and comparison was the
7 Kojima et al. 2019 paper, which was a very systemic
8 comparison of BPA and eight of its analogs, including BPS
9 of course, for both agonist/antagonist activities, because
10 really depending on the concentration you can have it's
11 modulation, right? It's not necessarily just agonist or
12 antagonist. And they looked at the human estrogen
13 receptors, so alpha and beta estrogen receptor,
14 glucocorticoid, pregnane X receptor, and the constitutive
15 androstane receptor. So it was -- it was a very
16 comprehensive study testing a reasonable range of
17 concentration.

18 And what was confirmed from this study and
19 subsequent studies is that BPS shows a potent agonist
20 activity towards both ER alpha and beta, although it is
21 likely to be weaker than most of the other bisphenols that
22 I used, and -- right and did not actually see a
23 significant antagonist effect of BPS. So it seemed really
24 focused on that agonist activity, you know, ER alpha and
25 beta.

1 This was subsequently also confirmed in the NTP
2 report and looking at the high throughput data that's
3 available through the national toxicology program, the
4 NTP, and that BPS was mainly active in the range of
5 nuclear hormone receptor assays that they examined through
6 its ER agonist activity.

7 But what -- I think what -- while this activity
8 on nuclear hormone receptor is often mentioned, I think
9 what we need to keep in mind is that ultimately it is not
10 just a BPA-like chemical. And so that's also mentioned
11 actually in the NTP report on BPAs and alternatives, that
12 actually when you look at the collection of high
13 throughput outcomes where BPS has been tested alongside
14 BPA and many other analogs, actually BPS is quite
15 dissimilar to BPA, one of the most dissimilar.

16 And so that brings me actually to two last
17 studies. And this is my -- if you've counted, this is my
18 fourth point by now, which is the fact that we tend to
19 look at BPS in the way that -- being informed by what
20 we've done with BPA what people have done with BPA, which
21 is really focusing on this estrogenic activity or at least
22 nuclear hormone modulation -- activity on modulation. And
23 yet, they are quite different and they seem to be acting
24 through pathways that we still don't really fully
25 understand.

1 So I'm going to lean on two studies for that last
2 point. One of them, as a disclaimer, is a study that came
3 out of my laboratory, which is the C. elegans study, where
4 we actually looked at the transcriptional outcome of BPA
5 and BPS. And what we noticed is that while both BPA and
6 BPS were reproductive toxicants, the -- and caused
7 embryonic lethality and apoptosis in the germ line, what
8 was really remarkable is that transcriptionally speaking
9 BPA and BPS were remarkably different from each other.
10 There was very little overlap in differentially expressed
11 genes, DEGs.

12 And this has been repeated in other contexts
13 including in a human study that looked at -- well in vitro
14 study that looked at human primary preadipocyte
15 differentiation, which is also a developmental endpoint.
16 And they did similarly a RNA-Seq comparison of BPA and BPS
17 and so really minor overlap I want to say between BPA and
18 BPS. So I -- while we tend to focus on its hormonal
19 activity as a mode or mechanism of action, I think it's
20 clear that there's more to it than just that.

21 Okay. So in sum, looking at the mechanistic sets
22 of evidence from my perspective, the part that we could
23 align the best with the -- at least the animal studies
24 that were mentioned was the impact on chromosome
25 misalignment and alteration of meiotic spindle. This

1 again aligns with that we've known of other bisphenols,
2 but also of estrogenic compounds such as DS and estradiol.
3 And that therefore this, in my mind, from a -- from a
4 mechanistic standpoint is a very strong set of evidence
5 that links directly with reproductive performance and
6 reproductive toxicity. And I'll end my comments there.
7 Thank you.

8 CHAIRPERSON LUDERER: Thank you, Dr. Allard.

9 We now have time for some full Committee
10 discussion about any of the studies that were mentioned or
11 other aspect -- other studies that were perhaps not
12 mentioned, if anyone has any additional comments.

13 COMMITTEE MEMBER PESSAH: Did you want my two
14 cents on mechanistic studies?

15 CHAIRPERSON LUDERER: Oh, I'm sorry.

16 COMMITTEE MEMBER PESSAH: No problem.

17 CHAIRPERSON LUDERER: Dr. Pessah. I guess I'm
18 like running off to lunch here or something. Sorry about
19 that.

20 (Laughter).

21 CHAIRPERSON LUDERER: My apologies. Yes, of
22 course.

23 COMMITTEE MEMBER PESSAH: So Patrick brings up a
24 very important point. It's clear from the mechanistic
25 data available in the literature that BPS is active, but

1 it's also clear that it's not BPA. It's not acting in a
2 sort of completely overlapping manner to the effects
3 described in BPA. And there's some studies that have
4 actually used informatics approaches to clearly define
5 that they don't have identical mechanisms. I found that
6 the chromosome misalignment and the MAP kinase signaling,
7 the ERK1, ERK2 pathways were the most sensitive in the
8 studies that I reviewed, but those are pleiotropic
9 mechanisms. They -- the actual target engagement could
10 occur at virtually dozens of possible biomolecules to
11 produce the effects that were seen.

12 Having said that, they seem to be engaged in a
13 relative concentration range that may be relevant to human
14 adverse outcomes. I actually don't think estrogen
15 receptor engagement is one of those mechanisms. There's
16 really very little direct evidence of estrogen receptor
17 engagement. More estrogen receptor signaling, and that
18 would be downstream and would involve things like the MAP
19 Kinase, Kinase, Kinase and so forth, ERK1, ERK2 pathways.

20 I really want to commend OEHHA at doing these
21 cross-mechanism animal studies and to some extent
22 epidemiological study comparisons of relevance, which got
23 me to thinking about the relative concentrations.
24 Clearly, one could calculate the median serum or plasma
25 level of BPS in some of these studies that report levels.

1 I'm not sure how informative that would be since the
2 half-life is somewhere below 15 hours. So, you know, you
3 have to take it with a grain of salt, where concentrations
4 in animals are shifting up and down all the time depending
5 on relative time to dosing. Whereas, in the cultured
6 dish, that's rarely done. It's just one steady state
7 concentration, which may have huge effects in trying to
8 interpret across study levels.

9 One of the things that I think really is
10 important is that although the chromosome abnormality and
11 alignment of chromosome alignment and spindle
12 abnormalities, which is one of the most sensitive
13 biomarkers of BPS, if you look at those studies, the dose
14 response curves are convincing to some extent, but they're
15 definitely not U-shaped. So I think in that respect, one
16 has to say we may not be looking at common mechanisms or
17 even phenomenon in those studies.

18 So I think that's all I really had to add.

19 CHAIRPERSON LUDERER: Thank you very much.

20 Now, we can move -- have some additional
21 discussion if any panel members would like to add anything
22 or comment on anything else.

23 Not seeing raised hands at the moment. And it is
24 very close to noon.

25 Oh, Dr. Sandy and Dr. Moran. We'll start with

1 Dr. Sandy and then Dr. Moran.

2 DR. SANDY: Yes. I just -- we wanted to correct
3 something we said earlier about the question on cancer.
4 I'll turn it over to Dr. Moran.

5 DR. MORAN: Okay. Thank you very much. Yeah.
6 Thanks for -- to the team that brought to my attention
7 that in the study by Tucker et al., 2018, there were a
8 couple of incidents -- actually a couple -- really two
9 incidents of carcinoma in mammary gland at the median dose
10 in animals observed up to 14 months, which is in this --
11 done less time than traditional for cancer development.
12 You know, it's normal two years. So that for
13 clarification. So some studies consider, you know, the
14 cancer effect. It was not obvious. It was not
15 statistically significant, and it -- given the, you know,
16 the caveat of the time when the observation was made.

17 And in addition to that, I would just make a
18 comment that the -- about the internal dose that was
19 brought up here. There is one study at least that measure
20 internal done in a traditional animal model for us. It's
21 the Chen et al. 2016 study on *C. elegans*. So they took
22 the time and really measured what is was inside the model.

23 You know, so I don't know, Dr. Sandy, if you want
24 to add more about the cancer. That is not my specialty.

25 (Laughter).

1 DR. SANDY: No. Thank you very much.

2 DR. MORAN: Yes.

3 CHAIRPERSON LUDERER: Yeah. Thank you for that
4 clarification. And I'm looking. I don't see any
5 additional raised hands. So as I was saying, since it is
6 noon now or almost noon, we can go ahead to take a lunch
7 break. And before we go on the break, I'd like to ask the
8 OEHHA Chief Counsel Carolyn Rowan to give the Bagley-Keene
9 Open Meeting Law reminder for us.

10 Thank you, Carolyn.

11 CHIEF COUNSEL NELSON ROWAN: Thanks. I'd just
12 like to quickly remind the members that during breaks you
13 aren't allowed to talk amongst yourselves about the
14 subject matter of the meeting, and that includes phone
15 calls, text messages, and chats.

16 My recommendation would be that you also not talk
17 to third parties about the items being discussed at the
18 meeting. If you do, then you'll need to disclose that
19 fact that you had the discussion with someone and give the
20 general content of that discussion when we return.

21 And that's it for me.

22 Thank you.

23 CHAIRPERSON LUDERER: All right. Thank you,
24 Carolyn. So we will take a 45-minute lunch break. So
25 we'll reconvene at 12:45. So everyone have a good lunch

1 and we'll see you all when we return.

2 (Off record: 12:00 p.m.)

3 (Thereupon a lunch break was taken.)

4 (On record: 12:46 p.m.)

5 CHAIRPERSON LUDERER: All right. Hello again,
6 everyone. Welcome back. It's time now for public comment
7 on the agenda item -- on this agenda item that we've been
8 discussion all morning on bisphenol S. And so the public
9 should feel free to comment on any aspect of the
10 presentation or discussion.

11 And Amy is going to share some slides with us on
12 how to provide public comment. So as a reminder, you must
13 be in the Zoom meeting to provide oral comment. And
14 instructions for how to the join the meeting are available
15 on OEHHA's webpage for this meeting and are also shown on
16 this slide. If you would like to make a public comment,
17 you can click on the Zoom webinar raise hand icon to
18 indicate that you would like to speak. And when your name
19 is called, you will be prompted to unmute yourself.
20 Please then unmute yourself and provide your comment. You
21 my also state your name and affiliation. And just another
22 reminder that public comment is limited to five minutes
23 per speaker.

24 So I'd like to ask Kiana, if there are any raised
25 hands? And is there anyone else wishing to provide public

1 comment?

2 MS. VAGHEFI: I'm looking through the list and I
3 do not see any raised hands.

4 CHAIRPERSON LUDERER: All right. So then seeing
5 none, I'll bring the conversation back to the Committee
6 for any further discussion on the matter before the vote.

7 So any Committee members who have additional
8 comments or discussion they would like to make, please
9 raise your hands and I'll call on you.

10 I'm see not seeing raised hands.

11 Then we will -- I'm going to ask everyone if you
12 are ready to vote. Is there anyone who's not ready to
13 vote? That might be the simpler question. Please raise
14 your hands.

15 Okay. All right. It looks like everyone is
16 ready to vote. So then I'm going to read the question
17 before the Committee, which is what we will be voting on.
18 And that is, has bisphenol S been clearly shown through
19 scientifically valid testing, according to generally
20 accepted principles, to cause reproductive toxicity based
21 on female reproductive toxicity?

22 So now I will call each of your names and ask you
23 to vote yes, no, or abstain on this question. And I'll go
24 in alphabetical order.

25 Dr. Allard.

1 COMMITTEE MEMBER ALLARD: Yes.

2 CHAIRPERSON LUDERER: All right. Dr.

3 Auyeung-Kim.

4 COMMITTEE MEMBER AUYEUNG-KIM: Yes.

5 CHAIRPERSON LUDERER: Dr. Baskin.

6 COMMITTEE MEMBER BASKIN: Yes.

7 CHAIRPERSON LUDERER: Dr. Breton.

8 COMMITTEE MEMBER BRETON: Yes.

9 CHAIRPERSON LUDERER: Dr. Carmichael.

10 COMMITTEE MEMBER CARMICHAEL: Yes.

11 CHAIRPERSON LUDERER: I will vote yes also.

12 And Dr. Nazmi.

13 COMMITTEE MEMBER NAZMI: Yes.

14 CHAIRPERSON LUDERER: Dr. Pessah.

15 COMMITTEE MEMBER PESSAH: Yes.

16 CHAIRPERSON LUDERER: And Dr. Plopper.

17 COMMITTEE MEMBER PLOPPER: Yes.

18 CHAIRPERSON LUDERER: All right. That is
19 everyone. So by my count that is unanimous, all nine
20 members voted yes. And six are required to add a chemical
21 to the list.

22 So let's see, yes, Amy then -- well, I guess I've
23 already -- I've tallied the vote and you have also tallied
24 the vote. And then -- so Lauren then, I will turn it over
25 to you for summary of the Committee action.

1 DIRECTOR ZEISE: Okay. Certainly there were a
2 unanimous vote of the attending members, nine yeses. And
3 so the chemical will be added to the Proposition --
4 bisphenol S will be added to the Proposition 65 list for
5 reproductive toxicity for the female reproductive
6 endpoint. Back to you.

7 CHAIRPERSON LUDERER: All right. Thank you. So
8 there's a 15-minute break scheduled, but I don't think we
9 need that --

10 DIRECTOR ZEISE: I don't think we need it.

11 CHAIRPERSON LUDERER: -- since we just came back.

12 DIRECTOR ZEISE: Yeah.

13 CHAIRPERSON LUDERER: All right. So then we'll
14 move right on to the consent item, which is an update of
15 the California Code of Regulations, Title 27, Section
16 27000, list of chemicals which have not been adequately
17 tested as required. And we're now ready to take up this
18 item, so we're being asked to affirm changes in response
19 to submissions from the U.S. Environmental Protection
20 Agency's Office of Pollution Prevention and Toxics, the
21 California Department of Pesticide Regulation, and U.S.
22 EPA's Office of Pesticide Programs have indicated that
23 there are no changes.

24 This is a ministerial duty of the Committee in
25 that we rely on information provided to OEHHA by the

1 Department of Pesticide Regulation and U.S. EPA to
2 identify the chemicals that need to be added or removed
3 from the Section 27000 list. So I'd like to invite
4 Environmental Scientist in the Proposition 65
5 Implementation Program Kiana Vaghefi to give the staff
6 presentation on this item.

7 Kiana

8 MS. VAGHEFI: Thank you, Dr. Luderer. Let me
9 share my screen.

10 (Thereupon a slide presentation).

11 MS. VAGHEFI: All right. Proposition 65 requires
12 the State to publish and update annually a list of
13 chemicals that are required to be tested under State or
14 federal law for carcinogenicity or reproductive toxicity
15 and that have not yet been adequately tested as required.
16 This list can be found in Title 27, Section 27000 of the
17 California Code of Regulations and is commonly referred to
18 as the Section 27000 list. It's a separate and
19 distinct -- it's separate and distinct from the
20 Proposition 65 list of chemicals known to cause cancer or
21 reproductive toxicity. The Section 27000 list has no
22 regulatory impact. It does not require that any testing
23 be done. Rather, it is a source of information concerning
24 chemicals that need further testing pursuant to State or
25 federal law.

1 To update the list, OEHHA requests information
2 from the California Department of Pesticide Regulation and
3 the U.S. Environmental Protection Agency's Office of
4 Pollution Prevention and Toxics and Office of Pesticide
5 Programs each year.

6 This year, OEHHA staff reviewed these responses
7 and identified one recommended change to the Section 27000
8 list. Addition of 2,2,3-trifluoro-3-
9 (trifluoromethyl)oxirane, also known as
10 hexafluoropropylene oxide, or HFPO. Based on information
11 received from U.S. EPA's OPPT, further carcinogenicity,
12 reproductive toxicity, and developmental toxicity testing
13 are required.

14 The letter from OPPT, along with additional
15 background, response letters from DPR and OPP, and a
16 mock-up of the proposed change are available in the staff
17 report provided to the Committee and posted online on
18 November 23rd. The proposed change is also shown on the
19 slide.

20 As Dr. Luderer mentioned, this is a consent item
21 and a ministerial duty of the Committee, in that the
22 DARTIC and CIC committees use the information provided by
23 DPR and U.S. EPA to identify the chemicals that need to be
24 added to or removed from the Section 27000 list. We ask
25 the Committee members to vote in favor of the proposed

1 change, so OEHHA can update the list.

2 I'll now turn it back over to Dr. Luderer and I'm
3 happy to take any questions.

4 CHAIRPERSON LUDERER: Thank you, Kiana.

5 Are there any questions from Committee members?
6 And as a reminder, this is a consent item.

7 Any questions, let's see, from Committee members?

8 I'm looking and I do not see any raised hands.

9 So in that case, then I will read the question
10 that we would be voting on, which is should Section 27000
11 of the Title 27 of the California Code of Regulations be
12 amended as indicated in the staff report? And I will now
13 call your names and ask you to vote yes, no, or abstain on
14 this question in alphabetical order. So Dr. Allard.

15 COMMITTEE MEMBER ALLARD: Yes.

16 CHAIRPERSON LUDERER: Dr. Auyeung-Kim.

17 COMMITTEE MEMBER AUYEUNG-KIM: Yes.

18 CHAIRPERSON LUDERER: Dr. Baskin.

19 COMMITTEE MEMBER BASKIN: Yes.

20 CHAIRPERSON LUDERER: Dr. Breton.

21 COMMITTEE MEMBER BRETON: Yes.

22 CHAIRPERSON LUDERER: Dr. Carmichael.

23 COMMITTEE MEMBER CARMICHAEL: Yes.

24 CHAIRPERSON LUDERER: I will vote yes also.

25 Dr. Nazmi.

1 COMMITTEE MEMBER NAZMI: Yes.

2 CHAIRPERSON LUDERER: Dr. Pessah.

3 COMMITTEE MEMBER PESSAH: Yes.

4 CHAIRPERSON LUDERER: And Dr. Plopper.

5 COMMITTEE MEMBER PLOPPER: Yes.

6 CHAIRPERSON LUDERER: All right. Again, the vote
7 is unanimous, nine yeases, which is the -- a unanimous
8 vote. And so then Amy will tally the vote and provide it
9 to Lauren.

10 DIRECTOR ZEISE: So I think we've just heard that
11 we've got a unanimous vote --

12 CHAIRPERSON LUDERER: Yes.

13 DIRECTOR ZEISE: -- to make that change to the
14 Section 27000. So thank you.

15 CHAIRPERSON LUDERER: Uh-huh. And then next we
16 have some staff updates on Proposition 65 listings,
17 regulations, and litigation that have taken place since
18 our last meeting. So Kiana then, can you -- will you
19 present the chemical listings and safe harbor levels?

20 Thank you.

21 (Thereupon a slide presentation).

22 MS. VAGHEFI: Yes. Thank you.

23 All right. The screen is up.

24 All right. Thank you, Dr. Luderer. I'll be
25 providing you with an update on important Proposition 65

1 developments since the last DARTIC meeting. I'll start by
2 going over the chemicals or endpoints added to the
3 Proposition 65 list as well as data call-ins requesting
4 information on chemical toxicity. Then I'll review
5 adopted and proposed safe harbor levels. After that, I'll
6 turn it over to our Chief Counsel Carolyn Rowan to provide
7 an update on other regulatory actions and significant
8 Proposition 65 litigation.

9 NEXT SLIDE

10 MS. VAGHEFI: Since the Committee's last meeting,
11 11 chemicals have been added today the Proposition 65
12 list: 1-bromo-3-chloropropane, 1-butyl glycidyl ether,
13 glycidyl methacrylate, 1,1,1-trichloroethane,
14 leucomalachite green, anthracene, 2-bromopropane, dimethyl
15 hydrogen phosphite, coal-tar pitch, fluoro-edenite fibrous
16 amphibole, and silicon carbide whiskers were all added as
17 carcinogens.

18 NEXT SLIDE

19 MS. VAGHEFI: Today, the DARTIC considered
20 listing BPS as causing female reproductive toxicity. BPS
21 remains under consideration for listing as causing
22 developmental and male reproductive toxicity. Information
23 from the BPS data call-in will be used in preparation of a
24 hazard identification document for a future DARTIC meeting
25 on these endpoints.

1 Second, on October 27th, 2023 OEHHA noticed a
2 proposed rulemaking that would amend and add new sections
3 to the safe harbor warning regulations. OEHHA is
4 proposing amendments to sections 25601, 25602, 25603, and
5 25670.2 and would add new sections 25607.50 through
6 25607.53 to Title 27 of the California Code of
7 Regulations.

8 The proposal is a continuation of a similar
9 rulemaking proposal that was initiated in 2021, which
10 OEHHA voluntarily withdrew to take additional to
11 incorporate public input. The proposal would provide
12 information to consumers and disincentivize unnecessary
13 prophylactic warnings by amending existing short-form safe
14 harbor warnings to include the name of a carcinogen, or a
15 reproductive toxicant, or both for which a business is
16 warning.

17 The proposal includes a two-year period for
18 businesses to gradually transition to the new warning.
19 And the proposal also includes safe harbor status for
20 short form-warning content on food products,
21 clarifications to internet and catalogs safe harbor
22 warning requirements, and warning options for off-highway
23 and motor vehicle and recreational marine vessel parts.

24 A public hearing is scheduled for tomorrow,
25 December 13th, and the public comment period is scheduled

1 to close on December 20th. OEHHA will have a year from
2 the date of the notice to submit a final package to the
3 Office of Administrative Law.

4 NEXT SLIDE

5 CHIEF COUNSEL NELSON ROWAN: Okay. On the
6 litigation front, I'd like to update you on a few
7 developments. On November 7th, 2023, the Ninth Circuit
8 Court of Appeals issued a decision in the National
9 Association of Wheat Growers versus Bonta case. The
10 decision held that Proposition 65 warnings for exposures
11 to glyphosate are not purely factual and uncontroversial
12 and violate the First Amendment of the U.S. Constitution.
13 The deadline to file a petition for rehearing is December
14 21st.

15 The California Chamber of Commerce versus Bonta
16 case also involves a first amendment challenge. That one
17 is to acrylamide warnings for food. And the case is
18 pending in federal district court. I don't have any
19 significant updates to report on that.

20 In September of 2023, the Personal Care Products
21 Council filed a another First Amendment challenge to
22 warnings for titanium dioxide, airborne unbound particles
23 of respirable size, for cosmetic and personal care
24 products. The plaintiff filed a preliminary junction
25 motion and the court has yet to rule on that motion.

1 judgments about reproductive toxicity and covering our --
2 all the materials that are submitted to you to review. So
3 very much appreciate that.

4 We also appreciate the participation of the
5 audience and attending this meeting. And then finally,
6 I'd also like to thank the OEHHA staff from the
7 Reproductive and Cancer Hazard Assessment Branch, in
8 particular the Reproductive Toxicology and Epidemiology
9 Section. You can imagine the tremendous amount of effort
10 that went into preparation of the hazard identification
11 materials. So that was a big lift and appreciate all that
12 effort.

13 I'd also like to thank the Proposition 65
14 implementation team and the legal team for getting this
15 meeting organized and together, and for all of the legal
16 and other ways in which those two teams support this
17 effort.

18 So finally, I just want to wish everyone a Happy
19 Holidays and again express my appreciation and turn it
20 back to you Ulrike -- Dr. Luderer

21 CHAIRPERSON LUDERER: Thank you, Dr. Zeise.

22 And I would also like to thank everyone, all the
23 staff who worked very hard on this and all the members of
24 the DARTIC, and also wish everyone a very Happy Holidays.

25 And with that, I declare the meeting adjourned.

(Thereupon the Developmental and
Reproductive Toxicant Identification
Committee adjourned at 1:07 p.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

