

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

ZOOM PLATFORM
CALEPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

TUESDAY, FEBRUARY 27, 2024

10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Dana Loomis, PhD, Chairperson

Ahmad Besaratinia, PhD, MPH

Jason Bush, PhD

Catherine Crespi, PhD, MS

David A. Eastmond, PhD

Joseph Landolph, PhD

Thomas McDonald, PhD, MPH

Mariana Stern, PhD

Sophia Wang, PhD

STAFF:

Lauren Zeise, PhD, Director

Kristi Morioka, Senior Attorney

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer
Hazard Assessment Branch

Meng Sun, PhD, MS, Chief, Cancer Toxicology and
Epidemiology Section, Reproductive and Cancer Hazard
Assessment Branch

Kiana Vaghefi, Proposition 65 Implementation Program

APPEARANCES CONTINUED

SPEAKERS:

Gary Ginsberg, PhD, Director, Center for Environmental Health, New York State Department of Health, Yale University School of Public Health

F. Peter Guengerich, PhD, Vanderbilt University

Vasilis Vasiliou, PhD, Yale School of Public Health

INDEX

	<u>PAGE</u>
1. Welcome and Opening Remarks	1
2. Enzyme Polymorphisms and Susceptibility to Carcinogenicity	
Presentation by Gary Ginsberg, PhD	9
Presentation by F. Peter Guengerich, PhD	33
Presentation by Vasilis Vasiliou	59
Public Comment	91
Committee and Speakers Discussion	92
3. Committee Input on Proposal to Streamline Several Sections of the Cancer Hazard Identification Documents	
Staff Presentation	110
Committee Discussion	117
4. Staff Updates	
Chemical Listings via the Administrative Listings	
Mechanisms	132
Safe Harbor Levels	134
Other Regulations and Litigation	134
5. Summary	137
Adjournment	140
Reporter's Certificate	141

PROCEEDINGS

1
2 DIRECTOR ZEISE: So I'd like to welcome everyone
3 to this 2024 meeting of the Carcinogen Identification
4 Committee so nice too see all of you in person. We also
5 have participation online by the public as well as in the
6 room. So nice to see you all. My name is Lauren Zeise.
7 I'm Director of the Office of Environmental Health Hazard
8 Assessment. That's a department within the California
9 Environmental Protection Agency. It's a lead agency for
10 assessing health risk posed by environmental contaminants
11 in the state of California.

12 So as we get started, we're going to just have a
13 few housekeeping items. First in an event of an
14 emergency, the emergency exits are the double doors
15 directly behind you for those of you in the room. And in
16 the front of the room to the left is a -- also a lighted
17 exit. And so you can access the restrooms by going out
18 the back double doors turning to the left and walking to
19 the end of the hall.

20 So today, we have our main agenda. We have two
21 important agenda items plus an update on -- of Proposition
22 65 activities. So the first item we're going to be
23 looking at enzyme polymorphisms and susceptibility for
24 carcinogenicity. And we're delighted to have such
25 distinguished speakers to help us with this item and think

1 about it in terms of our hazard identification documents
2 and decisions that we make under Proposition 65 as well as
3 a variety of other -- of our OEHHA program activities. So
4 really delighted to have that item covered.

5 And then the second item is an opportunity for
6 the Committee to provide us input on a proposal to
7 streamline several sections of our cancer hazard
8 identification documents. And these are the documents
9 that are provided to the Committee to inform their
10 decisions about whether or not a chemical should be listed
11 under Proposition 65 as a carcinogen. So we're really
12 looking forward to the Committee's discussion and input on
13 this item.

14 And for the third and final agenda item, staff
15 are going to provide updates on various Proposition 65
16 regulatory and other activities.

17 So today, there are not going to be any
18 Proposition 65 listing decisions. So, that is what we
19 have in front us today and we'll be taking a 45-minute
20 break for lunch around noon and a short 15-minute break
21 sometime in the afternoon.

22 The meeting is being recorded and transcribed,
23 and the transcription will be posted on OEHHA's website.

24 So another kind of housekeeping item is regarding
25 public comment. So during the meeting, there will be

1 opportunity for the public comment on the enzyme
2 polymorphisms and susceptibility to carcinogenicity item.
3 And so I think slides are being shared, yes.

4 Okay. So individuals who are in person and wish
5 to make an oral comment are asked to fill out a blue
6 comment card, and that's at the back of the room. And
7 then when called on by the Chair, you would approach the
8 microphone and state your name, affiliation, and provide
9 your comment.

10 And then for those of us who are attending
11 virtually and would like to make a comment, they're asked
12 to join the Zoom webinar. And for information on how to
13 join Zoom, that's shown on the slide. And you can see,
14 you go to the HTTPS site noted on the slide to register
15 for Zoom. [HTTPS://bit.ly/registercic2024](https://bit.ly/registercic2024).

16 So you'll receive a link to join the webinar at
17 the end of the registration process. And if you -- and if
18 you provided a working email address, you'll also receive
19 an email with a link to join the webinar.

20 And many of you may be joining via CalEPA
21 webcast. So you'll be able to watch the meeting if you're
22 joining that way, but you won't be able to make a comment.
23 You'll have to join the Zoom to speak.

24 Okay. So when requested by the Chair,
25 individuals on Zoom webinar may queue to provide oral

1 comment by using the raise hand function. And then when
2 your name is called, you'll be Provided the opportunity
3 for public comment. You'll be prompted to unmute
4 yourself. You'll unmute, state your name and affiliation
5 and provide your comment.

6 And if you like to present slides during your
7 public comment and have not already sent them, please
8 email them now to P65public.comments@oehha.ca.gov. And
9 that's also on this slide. Okay. So public comments will
10 be limited to five minutes per commenter.

11 All right. Now, I'll turn to introducing the
12 members of the Carcinogen Identification meeting[sic]. So
13 I'm pleased to see you all, and we'll introduce you. As I
14 introduce you, please state your name, affiliation, and
15 position.

16 So Dr. Besaratinia.

17 COMMITTEE MEMBER BESARATINIA: Good morning,
18 everybody. My name is Ahmad Besaratinia. I'm a professor
19 at the Department of Population and Public Health Sciences
20 at University of Southern California in Los Angeles.
21 Thank you.

22 DIRECTOR ZEISE: Great. Thank you.

23 Dr. Bush.

24 COMMITTEE MEMBER BUSH: Good morning, everyone.
25 Jason Bush, professor of cancer biology and Associate Dean

1 for the College of Science and Math, California State
2 University, Fresno.

3 DIRECTOR ZEISE: Dr. Crespi.

4 COMMITTEE MEMBER CRESPI: Thank you.

5 Can you hear me?

6 Yeah.

7 Cate Krespi. I'm a professor of biostatistics at
8 the UCLA Fielding School of Public Health.

9 DIRECTOR ZEISE: Dr. Eastmond.

10 COMMITTEE MEMBER EASTMOND: Dave Eastmond. I'm a
11 Professor Emeritus, University of California, Riverside.

12 DIRECTOR ZEISE: Dr. Loomis.

13 CHAIR LOOMIS: Thank you. Dana Loomis. Recently
14 retired from the Plumas County Public Health Agency and
15 the Desert Research Institute in Reno.

16 DIRECTOR ZEISE: Dr. Loomis will be serving as
17 Acting Chair today.

18 Dr. Landolph.

19 COMMITTEE MEMBER LANDOLPH: Joe Landolph. I'm
20 associate professor of molecular microbiology and
21 immunology and pathology at the Keck School of Medicine.
22 I'm also a member of the cancer center there in Los
23 Angeles, California

24 DIRECTOR ZEISE: Thank you. Dr. McDonald.

25 COMMITTEE MEMBER McDONALD: Tom McDonald, head of

1 product safety at the Clorox Company in Pleasanton,
2 California.

3 DIRECTOR ZEISE: Dr. Stern.

4 COMMITTEE MEMBER STERN: Good morning, everyone.
5 I'm Mariana Stern. I'm a professor of population and
6 public health sciences at the Keck School of Medicine of
7 USC In Los Angeles and the Associate Director of
8 Population Science at the USC Norris Comprehensive Cancer
9 Center.

10 DIRECTOR ZEISE: And Dr. Wang.

11 COMMITTEE MEMBER WANG: Hi. I'm Sophia Wang.
12 I'm a professor at the City of Hope Comprehensive Center
13 and Beckman Research Institute in the Division of Health
14 Analytics.

15 DIRECTOR ZEISE: Okay. So welcome, Committee,
16 and we really appreciate the time you're taking to come to
17 this meeting, provide us advice at the meetings. Thank
18 you so much.

19 Okay. Now, I would like to introduce OEHHA
20 staff. And so I invite you to raise your hand as we --
21 and maybe even stand as we walk through and introduce you.
22 So first, Dr. Elaine Khan, Chief of OEHHA's Pesticide and
23 Environmental Toxicology Branch and Acting Deputy Director
24 for Scientific Programs.

25 All right. And then for the Reproductive and

1 Cancer Hazard Assessment Branch: Dr. Martha Sandy, the
2 Branch Chief; Dr. Meng Sun, Section Chief of the Cancer
3 and Toxicology and Epidemiology Section. And then staff
4 of the Cancer Toxicology and Epidemiology Section that are
5 joining us today, so Drs. Feng Tsai, Gwendolyn Osborne,
6 Karin Ricker, Kate Li, Neela Guha, Sarah Elmore, and
7 Vanessa Cheng. So nice to see you all.

8 All right. We'll now turn to the Office of
9 External and Legislative Affairs, Proposition 65
10 Implementation Program. So Dr. Amy Gilson, Deputy
11 Director for External and Legislative Affairs; Tina Cox,
12 Senior Environmental Scientist, Chief -- Section Chief of
13 the Proposition 65 Implementation Program - and this is
14 Tina's first meeting, welcome - Kiana Vaghefi,
15 Environmental Scientist, Proposition 65 Implementation
16 Program, Ester Barajas-Ochoa, Analyst, Proposition 65
17 implementation program.

18 And then from OEHHA's Legal staff, Kristi
19 Morioka. So welcome all.

20 All right. So let's see. Now, we're going to
21 have our legal representative here Kristi. She's
22 available for the whole meeting. Thank you for coming in
23 person, Kristi. And so if you have -- feel free to ask
24 Kristi any clarifying questions or any OEHHA staff
25 clarifying questions during the meeting. If they don't

1 have the answer, they'll do their best to find it and
2 report back to you all.

3 All right. So also as a reminder that the
4 Bagley-Keene Open Meeting Act applies to this meeting.
5 Kristi, since you're in person, do you want to say a
6 little more or...

7 SENIOR ATTORNEY MORIOKA: Let me see. Does this
8 work? Okay. So just for the Committee members, remember
9 that all topic discussions and deliberations need to be
10 conducted during the actual meeting, not on brakes, not on
11 lunch, or with individual members of the Committee whether
12 you're in-person, on or offline, phone, email chats or
13 text messages.

14 Thank you.

15 DIRECTOR ZEISE: Thanks, Kristi. All right.
16 Now, I'll be turning the meeting over to Dr. Loomis the
17 Acting Chair for today.

18 CHAIR LOOMIS: Thank you, Lauren. Good morning,
19 everyone. I want to particularly thank Committee members
20 who've traveled here for this meeting today, it's
21 certainly going to be a novel format for me and all the
22 rest of us. I gather there hasn't been an in-person
23 meeting in 5 years. So I'm going to have to learn how to
24 navigate this in-person. You know, I can't see the whole
25 committee at once, for example. So I'll do my best to

1 call on the people when they want to speak. But if not,
2 you may need to get my attention.

3 Thanks too to everyone from the staff and the
4 public who's here this morning. I drove in early today
5 and -- from the high Sierra where the temperature was 23
6 degrees and the snow was all around the highway to 53
7 degrees at Auburn. I just marvel at what an amazing state
8 we live in here. So thanks everybody for being here.

9 Now, we'll move on to the first agenda item on
10 enzyme polymorphisms and cancer susceptibility. So, we
11 have a couple of invited speakers. I will introduce the
12 first one. This is Dr. Gary Ginsberg. He's Director of
13 New York State Department of Health Center for
14 Environmental Health, also a professor at Yale University
15 School of Public Health. He's worked collaboratively with
16 the U.S. EPA and various academic researchers for many
17 years on a range of projects that have focused on life
18 stage and genetic polymorphism-based susceptibility
19 factors. He's served on a number of federal scientific
20 review panels as well as National Academy of Sciences
21 panels. So Dr. Ginsberg will take the floor and it's all
22 yours.

23 (Thereupon a slide presentation).

24 DR. GINSBERG: Thank you, Chair Loomis and I
25 appreciate the invitation to come out and meet again some

1 old colleagues, not old in age, but just --

2 (Laughter)

3 DR. GINSBERG: -- long-standing here on the west
4 coast, as well as it's always a pleasure to talk about
5 interindividual variability and susceptibility factors.
6 So I'll focus on some of the research that I was involved
7 with that came about through a cooperative agreement
8 between U.S. EPA and the State Of Connecticut where I was
9 the State toxicologist for a long time. Looking at these
10 individual variances, we realized that the molecular
11 epidemiology literature was exploding with information
12 about what we call single nucleotide polymorphisms, which
13 are essentially just mutations in various genes that
14 affect either the protein function or the inducibility of
15 the protein. And so as this epidemiology evidence was
16 building for their effect, their phenotypic effect on
17 vulnerability, we realize that there wasn't really a good
18 cataloguing of the various SNPs, the various mutations and
19 key genes, and key functional pathways.

20 So we wanted to create a database that would
21 catalog all of this and then talk about risk implications
22 using some Monte Carlo methods. So I'm going to talk
23 about that, but then also focus on couple of examples that
24 show just how influential some of these SNPs can be in
25 terms of -- especially when you start compiling the

1 various ones in the same individual. What does it look
2 like if you have not just one polymorphism, but if you
3 have 3, 4, or 5 polymorphisms within the same individual.
4 So let's try this. That didn't work.

5 No, let's try that. Still not working.

6 Do I have to point it somewhere else?

7 Oh, it just moved.

8 Okay. Let's try it again. Okay, so now if we go
9 backward. All right, now it's moving forward. I'm sorry.
10 Okay. It's just -- there's a delay. I guess I have to be
11 more patient.

12 (Laughter).

13 [SLIDE CHANGE]

14 DR. GINSBERG: Okay. So just to do some level
15 setting. The early evidence of genetic polymorphisms and
16 their influence on phenotype. There was in the 1950s
17 clinicians recognized that the antitubercular drug
18 isoniazid was creating side effects along the lines of
19 neurotoxicity in about 4 to 17 percent of the subjects
20 receiving the drug. And it was then understood that there
21 was metabolism, urinary -- as evidenced by urinary
22 metabolites of isoniazid that were clearly different in
23 those who were more susceptible to the side effect and
24 that was termed the slow acetylator, n-acetyltransferase,
25 or NAT as we affectionately refer to it as, the acetylator

1 phenotype associated with that side effect.

2 And then around the same time, maybe a bit later,
3 there was an antidepressant nortriptyline, that was found
4 to vary widely in the population in terms of its
5 pharmacokinetics, 40-fold variation, and then the -- it
6 was identified as a cytochrome P450, or CYP2D6 variation,
7 poor metabolizer, or a rapid metabolizer, or extensive
8 metabolizer started segregating out. And with those
9 examples, before -- well before the genetics were
10 understood, probed substrates started being used. So for
11 example, for CYP2D6, debrisoquine, a muscle relaxant that
12 is pretty innocuous was used to phenotype populations and
13 understand how these genes are inherited. And for a
14 couple at least, it was early recognized autosomal
15 recessants -- recessive inheritance. And so there's now a
16 wide variety of probe drug substrates that can be used to
17 understand this kind of variability for some of these
18 pathways.

19 And then with the advent of more advanced
20 genotyping methods, these genes were shown to have many
21 variable locations, or many SNPs. And some of them, of
22 course, being in the reading frame and being directly
23 affecting protein function, others being upstream in the
24 promoter sequence and affecting the inducibility of the
25 gene or the expression of the gene. And others could be

1 pathways. Like the cytochrome P450s I listed, there are
2 two of them, which have been studied a fair bit for SNPs.
3 And I'll leave that to Dr. Guengerich to further discuss
4 the CYPs.

5 And phase -- but that first phase of oxidation of
6 many of these xenobiotics leads to them being more water
7 soluble, but also perhaps more toxic to especially the
8 local systems, like the liver, where a lot of this
9 oxidation occurs. Phase 2 conjugation pathways, which are
10 often involved in detoxification of those oxidized
11 metabolites. So there, we have the n-acetyltransferases,
12 the GSTs, or the glutathione transferases, and UDPGTs, or
13 are the glucuronyl transferases. And those are just a
14 small subset of phase 2 conjugation, but these have been
15 well studied as well for their variance, and all of these
16 could potentially influence how long a reactive metabolite
17 will have residence time near a target like protein or DNA
18 before they get conjugated and removed from -- towards the
19 kidney and excreted.

20 I have another arrow leading to detoxification.
21 Some people will still categorize these as phase 2
22 conjugation reactions, epoxide hydrolase, and NADPH
23 quinone oxidase, one -- subsets 1 for that family and NQO1
24 and epoxide hydrolase are further metabolizing steps that
25 can help to decrease the risk from epoxides or from

1 quinones, which can form endogenously as well as through
2 xenobiotic and entrance into the body.

3 And then finally, over to DNA repair enzymes,
4 which are known to be polymorphic, I'll talk a little bit
5 more XRCC1, but -- which is a scaffolding gene, which
6 helps to organize base excision and nucleotide excision
7 repair pathways. And then the oxyguanosine glycosylase or
8 OGG and, of course, the famous BRCA1 and BRCA2 genes,
9 which are all known to be polymorphic with influential
10 risk factors there. So what we did in cataloging -- you
11 know, I think that's in the next slide hopefully.

12 [SLIDE CHANGE]

13 DR. GINSBERG: Oh. No, I went too far again.

14 All right. So another -- well, let me just
15 say -- what I started saying, what we did with cataloging
16 these various systems according to phase 1, phase 2, and
17 other kinds of detoxification pathways was we basically
18 looked at the various alleles that have been identified
19 and what the functional effect was. So characterize the
20 magnitude of the change in protein function and then
21 looked at the allele frequencies. And based upon
22 combining these two, we developed population distribution
23 of enzyme function that could be used in a risk
24 assessment.

25 All right. And again, for some more level

1 DR. GINSBERG: Those are a couple of examples.
2 You know, I just want to do a little side-step here to an
3 example that we talked about in Science and Decisions, the
4 2009 update to the red book from the National Academy on
5 EPA risk methods. And in that, we refer to this 2007
6 paper by Demchuk et al. And I just want to show it as
7 sort of a conceptual piece that talks about 16 different
8 asthma susceptibility genes that have been identified
9 through occupational studies to -- with various --
10 exposure to various occupational allergens and that each
11 one of these individually has an elevated odds risk for
12 occupational asthma. But if you theoretically combine
13 them all, in other words, if one person had all 16 of
14 these traits, what do you get?

15 And that's the next slide.

16 [SLIDE CHANGE]

17 DR. GINSBERG: And here is -- this slide didn't
18 quite come out right. But anyway, I think you'll get the
19 drift that if you are the wild type for all 16, that you
20 have an odds ratio of close to 1 for occupational asthma
21 where the arrow is at the top left. If you have a fair
22 number of these compile -- compiling variances. So, for
23 example, for an odds ratio of a hundred for occupational
24 asthma, you're at about one in a million likelihood that
25 that individual actually exists.

1 So, you know, in a large worker cohort, you might
2 have one person that has an odds ratio of 100. According
3 to this theoretical framework for understanding how
4 polymorphisms may multiply their effects or interact their
5 effects within the same host. And we'll talk -- we'll --
6 that kind of example I'll get to in a minute when it comes
7 to benzene and carcinogenesis in the Chinese population.

8 [SLIDE CHANGE]

9 DR. GINSBERG: All right. And here's a bit of
10 our cataloging. This is one paper we published in Journal
11 of Toxicology and Environmental Health. And here's an
12 example of what we were able to summarize for each of the
13 pathways we focused on, which were 11 different pathways
14 and we identified the various alleles that look like
15 they're influential. We talked about -- you know, we
16 kept -- we brought together the evidence on how large a
17 functional effect these -- or inducibility effect these
18 alleles would have on these various enzyme systems.

19 And then we -- unfortunately, I don't think I
20 know where the pointer is on this, but the third -- one,
21 two, three -- the fourth column over shows the allele
22 frequency in Caucasians, and then in African Americans,
23 and in Asians, so that we have the basic information
24 needed to then do Monte Carlo analysis and come up with a
25 population distribution of protein -- of enzyme function

1 of each one of these genes.

2 And so when we did that, here is an example where
3 we looked across these various -- and by the way, we
4 didn't just pick genes to study and proteins -- and
5 enzymes to study, because, you know, for -- just because
6 they're out there in the literature, but these look like
7 they're important pathways in cancer and noncancer
8 toxicology. And when you just look across the variability
9 introduced by polymorphisms, here is a graph showing the
10 percentage of the population, again Caucasian, African
11 American, or Asian, the percentage of the population,
12 which is more than 3.2-fold or half a log different, which
13 is sort of a standard toxicokinetic assumption in risk
14 assessment, half a log for toxicokinetic variability.
15 This is more than that standard assumption because of
16 these polymorphisms.

17 [SLIDE CHANGE]

18 DR. GINSBERG: And then the next slide is
19 10-fold. So now, here's percentages of the population
20 where, for example, with GSTM1, or T1, you can see in the
21 Asian population that 40 to 50 percent of the population
22 would be expected to have at least one allele that could
23 confer a -- a 10-fold difference from the -- it should say
24 median, not mean there -- from the median activity for
25 that enzyme. So again, showing the relatively important

1 that time, the rates are more like 15 to 30 percent of the
2 double null polymorphism.

3 [SLIDE CHANGE]

4 DR. GINSBERG: And now, let's talk a little bit
5 about NADPH quinone reductase -- oxidoreductase, so -- and
6 benzene a little bit. And it's a key defense against
7 benzene hematotoxicity and bone marrow or leukemia -- bone
8 marrow toxicity and leukemia. And so the way the enzyme
9 works is that it helps to reduce quinones. And so when an
10 oxidized version of benzene or phenol becomes oxidized to
11 a double oxidation step, as you could see in that first
12 structure there, phenol, with two double bonded oxygens to
13 it, and if it gets partially reduced, you get into a
14 vicious cycle with it, where it could form oxidative
15 radicals, which can damage proteins. It could go back or
16 it could actually, in the second step, form superoxide,
17 and again induce more bone marrow damage that way and
18 cause hematotoxicity that way or lead to leukemia.

19 But the action of NQ1 on the bottom part of this
20 slide is to provide a 2 electron reduction of the quinone
21 to form phenols -- a biphenol which is much less toxic and
22 easier to conjugate and eliminate. So NQO1 in bone marrow
23 is an essential defense mechanism against benzene,
24 hematotoxicity, and carcinogenesis. And it has a null
25 polymorphism as well, where some individuals do not have

1 that enzyme function.

2 [SLIDE CHANGE]

3 DR. GINSBERG: And here's the frequency of that
4 across a range of groups. And again, unfortunately, the
5 Asian population tends to be higher with that trait --
6 allele frequency.

7 [SLIDE CHANGE]

8 DR. GINSBERG: And then how do we combine our
9 thinking on these various pathways and how they may
10 interact in a single person? And again, we talked a
11 little bit about the theoretical risk for occupational
12 asthma with -- you know, earlier, but this is now looking
13 at the subject of today's presentation on cancer. And
14 we -- this study from Chen et al. 2007 looked at 100
15 Chinese benzene workers, 100 with chronic benzene
16 poisoning and 90 that had no evidence of low white blood
17 cell counts or platelet counts, so no evidence of any bone
18 marrow damage. And when they looked at the
19 pharmacogenetics of these 190 workers.

20 [SLIDE CHANGE]

21 DR. GINSBERG: And benzene occupation -- just a
22 little bit of background on benzene, roughly 3 -- as of
23 2008, roughly 3 million U.S. workers in various
24 industries, the human leukemia evidence is strong from
25 rubber-related workers, solvent-related workers. NCI and

1 the Chinese Academy of Science have done a number of
2 studies on over 30,000 workers in China, an elevated
3 leukemia rate, even below the occupational standard at
4 that time of 10 parts per million. And the risk is highly
5 variable across workers. And so the goal was to try to
6 understand what's the source of that variability for
7 similar exposures.

8 [SLIDE CHANGE]

9 DR. GINSBERG: And so this paper again looks at
10 the effect of genetic polymorphisms on the risk of chronic
11 benzene poisoning. And I introduced several of these
12 polymorphisms on previous slides. I'll quickly go through
13 these. And the last column, the adjusted odds ratio for
14 the bone marrow damage, the hematotoxicity is what
15 we're -- the endpoint we're looking at here, not cancer,
16 but something that could be related to cancer, because
17 we're in the bone marrow. We're being toxic to bone
18 marrow cells through a benzene-related pathway. And if
19 you're not killing the cells, you're likely still mutating
20 them and potentially leading to increased leukemia risk.

21 And so for the NQO1 common variant, which goes --
22 at nucleotide 609 C to T transition, the TT, the variant,
23 had an odds ratio for increased hematotoxicity of nearly
24 threefold. That's the right most column. The third --
25 unfortunately my pointer is not working. Let's see. No,

1 I still can't get the pointer to work, but that 2.94
2 number is -- I'm going to draw your attention to that last
3 column.

4 So that's the NQO1 polymorphism by itself. But
5 these researchers have also studied myeloperoxidase, which
6 is primarily a bone marrow oxidative pathway. There's a
7 polymorphism there that did not produce by itself a
8 statistically significant response, CYP2E1, which did not
9 produce a statistically significant change. But the
10 glutathione transferase, which I've already talked a
11 little bit about going from non-null, or the wild type, to
12 the null variant produced almost a doubling in risk by
13 itself for -- in these 190 benzene workers.

14 So that was a fairly influential gene. The GSTM1
15 by itself also not quite statistically significant, but in
16 the direction of increased risk in those who are null for
17 that. So let's see what happens when we interact these
18 pathways?

19 [SLIDE CHANGE]

20 DR. GINSBERG: So I'll draw your attention not to
21 the top graph, but to the bottom one in the interests of
22 time. So when you interact the NQO1 polymorphism, the
23 GSTT1 polymorphism, and the GSTM1 polymorphism and look at
24 the genetic susceptibility to chronic benzene poisoning in
25 these 190 workers, the -- basically the bottom line is the

1 top line in Table 5.

2 And you can see that 20.4 adjusted odds ratio
3 for -- so 20-fold higher risk for this outcome, if you had
4 all three. So the more -- the risk gene or the knockout
5 Gene for NQO1, the knockout gene for GSTT1, and the
6 knockout gene for GSTM1. So 20-fold higher risk.

7 [SLIDE CHANGE]

8 DR. GINSBERG: So that just -- let's see if I
9 could back that up one second.

10 No. I went the wrong way. Sorry, I'm struggling
11 with the mouse. Okay. It's just on a delay. I should --
12 I need to learn patience.

13 All right. Well, let's stick with this. So
14 following up on that, they did not study XRCC1, which is a
15 base excision repair polymorphism. And it's known that
16 there's decreased function of that with certain variants.
17 And here's work that I was involved with looking at the
18 percent change in enzyme function for two different
19 genotypes. And the more influential one is the one on the
20 right, the arginine to glycine at nucleotide 399. And you
21 could see that there is epidemiology evidence for
22 increased NNK, or -- that's a tobacco-related carcinogen
23 sister chromatid exchange is in smokers, benzo(a)pyrene,
24 diol epoxide, DNA-related breaks, DNA breaks -- I forget
25 exactly what the endpoint was there.

1 So those deflections are positive for influence
2 of that gene. The negative deflections are also in the
3 direction of increased risk for a variety of other
4 pathways. So to show that this DNA XRCC1 variant, which
5 is decreased function of that DNA repair gene, leads to
6 increase just all by itself without interacting it with
7 phase 1 or phase 2 pathways, but now we're looking at DNA
8 repair. Polymorphisms can also lead on their own to
9 increased risk for DNA damage.

10 And waiting for the slides to change.

11 [SLIDE CHANGE]

12 DR. GINSBERG: Here's the frequency of the XRCC1
13 genotypes. And you can see they're fairly common, roughly
14 10 percent of Caucasians and Asians are about 10 percent
15 where they are homozygous for this low metabolizer
16 phenotype, roughly about up to a maximum of about four
17 fold in the repair proficiency for some of these DNA
18 damaged effects.

19 So where does this leave us?

20 [SLIDE CHANGE]

21 DR. GINSBERG: So Science and Decisions that Dr.
22 Zeise and I worked together on that committee talked about
23 the variability considerations for carcinogens. And there
24 was a case study presented in that by Bois et al. 1995 on
25 4-aminobiphenyl, where the variability -- this was without

1 research looked at several variants of an
2 N-acetyltransferase 2, subtype 2, and the star 4 pathway,
3 star 5B pathway, or star 7B pathway and the increased risk
4 over on the right graph about a threefold increase in
5 mutations in that genetic subtype of the 7B variant. And
6 the 7B -- the NAT2 7B n-acetyltransferase 7B variant is an
7 intermediate. It's not super slow as -- 5B is the really
8 slow enzyme variant. So it's not quite null knockout, but
9 it's much lower activity. The 7B has intermediate
10 activity and it leads to increased risk as compared to the
11 wild type.

12 [SLIDE CHANGE]

13 DR. GINSBERG: And here is a summary of NAT1 and
14 NAT2 polymorphisms that we published in 2009. And for the
15 NAT2 allele, the 7A and the 7B, which is that intermediate
16 function for n-acetylation, o-acetylation is in the middle
17 of all those variances that we looked at.

18 [SLIDE CHANGE]

19 DR. GINSBERG: And so again, it's not super slow.
20 It's not as fast as wild type. It's an intermediate risk
21 factor, but -- so you wouldn't necessarily call it out or
22 predict that it would be particularly influential, but it
23 is. And the NAT2 polymorphism overall when you look at
24 the gene frequencies for various functional levels of NAT2
25 in Caucasians, African Americans, and Chinese, you get

1 these Monte Carlo based plots of the function of these
2 pathways. This is using caffeine as the indicator.

3 And again, a lot of the probe substrates are, you
4 know, relatively innocuous that you can give to groups of
5 people and look at their metabolites in urine, and you can
6 see these kinds of -- again, here for NAT2, you get
7 largely a bimodal function of the enzyme.

8 So the bottom line for this is that, you know,
9 again, if I had the pointer, the caffeine metabolism ratio
10 would be really way out on one tail on the right tail for
11 the -- for -- well, it's -- the 7 -- the highly risk --
12 the high risk NAT2 polymorphism, 7B and 7A, would not be
13 way out at the right tail. It would be more in that
14 second lump -- hump for slower enzyme function. And yet,
15 it seems to be the most -- the highest risk factor.
16 That's -- it's that intermediate NAT2 function, which does
17 allow n-hydroxylation to occur, but then it will then
18 acetylate the n-hydroxy quite actively.

19 [SLIDE CHANGE]

20 DR. GINSBERG: So NAT2 subtype 7 confers 2.5
21 greater mutagenicity. And it's a homozygote in 1 to 2
22 percent of the population. So it's not a real large
23 percentage of people walking around with this homozygote,
24 but it does look like a significant risk factor.

25 [SLIDE CHANGE]

1 DR. GINSBERG: So to sum up, proposed framework
2 for assessing variability in cancer susceptibility genes
3 due to single nucleotide polymorphisms. Here's a stepwise
4 approach, identify the key enzymes, transporters, binding
5 proteins in a chemical's adverse outcome pathway, evaluate
6 the effect of SNPs at each step, gather in vivo and cell
7 culture evidence for the most influential SNPs, evaluate
8 population distribution of these influential SNPs.

9 Then you can do Monte Carlo analysis to establish
10 the distribution of risk phenotypes based upon these
11 underlying genotype frequencies, and consider
12 multiplicative risk across multiple SNPs, as for example
13 the benzene NQO1, and glutathione transferase interaction.

14 Check predictions against molecular epidemiology
15 studies, where they've actually looked at a number of
16 these pathways, and also you can check results against the
17 full PBPK model, because this can all be modelable through
18 physiologically based pharmacokinetics.

19 [SLIDE CHANGE]

20 DR. GINSBERG: And then just the final slide.
21 Some thoughts for how to think about this for policy. If
22 the framework leads to the conclusion that SNPs likely
23 increase cancer vulnerability perhaps two approaches, the
24 general population approach consider using the NAS
25 default, which is roughly 25-fold greater risk at the

1 90th -- 95th percentile upper tail of the pop -- of risk
2 distribution of the population, so meaning about a
3 6.8-fold increased risk from median.

4 So in other words, if you have an epidemiology
5 study that does not look at polymorphisms, and you've got
6 a certain risk level, you might think that if you're aware
7 that there are underlying polymorphisms that can push risk
8 in the wrong direction, you may, just as a default, think
9 about an increased risk for carcinogen at an upper tail of
10 about 6.8-fold that you may want to consider in your risk
11 assessment or to be a little bit more analytical about it,
12 you can look at subpopulation specific risk assessment
13 based upon the magnitude of the excess risk and the size
14 of the at-risk population.

15 And so perhaps this is just a straw man, you
16 might consider doing a separate subpopulation risk
17 assessment when there's at least a twofold excess risk in
18 5 percent or more of the population, 10-fold excess risk
19 in one percent or more of the population, or a 100-fold
20 excess risk in 0.1 percent or more of the population. So
21 just different ways to think about whether it's worth
22 doing a subpopulation risk assessment. Again for the
23 benzene example, we had a 20-fold excess risk in about 10
24 percent of the Asian population. So that in this
25 framework would merit doing a separate risk assessment on

1 that.

2 So thanks a lot and happy to be part of a panel
3 or answer any questions

4 CHAIR LOOMIS: Thank you, Dr. Ginsberg.

5 (Applause).

6 CHAIR LOOMIS: That's a very interesting
7 presentation.

8 We have just a few minutes now for clarifying
9 questions. I'll ask the Committee to hold substantive and
10 theoretical questions for later when we have time for
11 that, but are there any clarifying questions right now?

12 Looks like there is one.

13 COMMITTEE MEMBER EASTMOND: I have one.

14 CHAIR LOOMIS: Dr. Eastmond.

15 COMMITTEE MEMBER EASTMOND: Nice talk. It's my
16 understanding that with the NAT2 variance, there are many
17 different alleles. So you picked up the star 7. There
18 are like 20 or more of these. Is that one particularly of
19 concern or would you do this with each one of those?

20 DR. GINSBERG: Yeah. So there is enough
21 literature to show that the 7B is influential. The
22 problem with it is is that it's not that frequent. So
23 it's hard to study in epidemiology studies. But when
24 there have been in vitro analyses to show the theoretical
25 increase in DNA damage, the 7B allele turns out to be

1 highly influential. So some of the others like the 5B,
2 which is much less frequent, is not as influential in
3 terms of outcome of DNA adducts or for the aromatic
4 amines.

5 So that's -- that one is sifting out right now.

6 CHAIR LOOMIS: Any other questions of
7 clarification at this point?

8 Okay. Seeing none, thank you, Dr. Ginsberg.

9 We'll move on to our next speaker. He's Dr. F.
10 Peter Guengerich. He's Chair and professor of
11 biochemistry at Vanderbilt University. He's an
12 enzymologist with interests in the characterization of
13 cytochrome P450 enzymes, and metabolism, and bioactivation
14 of drugs and toxic chemicals. He's published 768 refereed
15 papers, 324 invited reviews, and 138 published proceedings
16 and is one of the most highly cited authors in the fields
17 of biochemistry and toxicology.

18 Dr. Guengerich, the floor is yours.

19 (Thereupon a slide presentation).

20 DR. GUENGERICH: Oh. Am I on now?

21 Okay. I think I'm going to stand over there. I
22 sort of like being over -- I don't want to be a lawyer.
23 No offense to your lawyers in the crowd. But at least
24 all -- I can see all of you and we'll proceed with this.

25 Okay. So anyway, I'm Fred Guengerich. And it's

1 nice to be here today and I'll try to shed some light or
2 maybe some confusion on where we are today at least bring
3 up some of the caveats about what we have and see how fast
4 this is.

5 [SLIDE CHANGE]

6 DR. GUENGERICH: Okay. So the concept that
7 there's a genetic variation related to disease is not
8 really a new one. This goes back to a, you know, famous
9 book about the inherited basis of metabolic disease, going
10 back to at least 1960 with Jim Wyngaarden and Don
11 Fredrickson. So it's not really new.

12 [SLIDE CHANGE]

13 DR. GUENGERICH: And I'm not going to dwell on
14 this. This is toxic pathways. It's a slide I've used in
15 some of my course work for teaching toxicology. It looks
16 complicated, but this is actually a gross
17 oversimplification of how complicated life really is in
18 terms of understanding things. One of the things though
19 that is important up there is metabolism at the top
20 leading to differences in reactions with receptors, also
21 covalent binding, mutation, et cetera, and we have a bunch
22 of other things going on. But it's -- I think if there's
23 one thing we've learned during my career in the field it's
24 that there's no single one target to get toxicity. There
25 are a lot of ways to get toxicity and cancer for that ma

1 matter.

2 [SLIDE CHANGE]

3 DR. GUENGERICH: Here are some bioactivation
4 reactions. I won't really dwell on this. Many of you are
5 familiar with these just showing you some of the chemical
6 transformations, primarily using cytochrome P450 enzymes.
7 I think one thing to point out here is that in many cases
8 we have not only -- and this, you know, is something
9 already brought up in the previous talk. You all often
10 have multiple enzymes involved in a pathway. So you --
11 for instance, with to 2-aminoflourene. You have
12 sulfotransferase there with benzo(a)pyrene. You have
13 epoxide hydrolase, et cetera. So we have a balance of a
14 bunch of enzymes in most of these pathways that actually
15 influence the overall toxicity.

16 [SLIDE CHANGE]

17 DR. GUENGERICH: So if we look at bioactivation,
18 this is from a couple reviews that my friend Slobodan
19 Rendic and I have written. And I don't know if you can
20 see these very well, they're different reactions. These
21 are bioactivation reactions. We've made different pies
22 for detoxifications as well, but this is a bioactivation.
23 So there are a bunch of different types of reactions and
24 there are also enzyme families involved in this. The main
25 point I want to make is that if we actually look at the

1 the way, I started in this business in 1973, so it's
2 changed a lot.

3 [SLIDE CHANGE]

4 DR. GUENGERICH: I didn't expect to be in this
5 all my life. Anyway, one of the way of taking the 57
6 human P450s is to -- you know, split them up based on
7 their major substrate class. About a fourth of them are
8 actually pretty essential and are involved in steroid
9 metabolism. The ones that are of most interest in terms
10 of potentially toxic chemicals and carcinogens are in the
11 second row, the one called xenobiotics. This includes
12 drugs as well. I'm not going to say too much about drugs
13 today, but the -- you can see some of the culprits there
14 in the xenobiotics group then. So those are the ones that
15 have been of most interest.

16 [SLIDE CHANGE]

17 DR. GUENGERICH: Okay. There are two aspects of
18 safety assessment, hazard identification and risk
19 assessment. Dr. Sun told me not to talk too much about
20 risk assessment, because that wasn't the interest today,
21 but we really can't have one without the other, but I'll
22 focus on -- I'll skip this.

23 [SLIDE CHANGE]

24 DR. GUENGERICH: This is risk assessment showing
25 dose response curves and overlap.

1 [SLIDE CHANGE]

2 DR. GUENGERICH: And this is BMDL approaches,
3 which I'll skip as well too.

4 [SLIDE CHANGE]

5 DR. GUENGERICH: So going back, and I skip over
6 this, this is -- some of these things I think have already
7 been covered by Dr. Ginsberg. One of the things is if you
8 actually look at a distribution of -- frequency
9 distribution of some kind of an effect in a population, if
10 it's unimodal, that tends to argue against genetics,
11 although not necessarily. If you see a bimodal or
12 trimodal distribution, that's a real telltale sign that
13 you actually do actually have some kind of genetic basis
14 for that. There are a number of ways to establish this.
15 People have used family studies, twin studies, things like
16 that.

17 And it may be complicated. I've got a couple of
18 examples down there. Sometimes you have a mixture of both
19 inducibility of an enzyme as well as in genetic variation,
20 sometimes you can actually have genetic variation in the
21 elements that actually control the induction. So I'll get
22 into this later. This is why it's been tough teasing some
23 of these things out of them.

24 [SLIDE CHANGE]

25 DR. GUENGERICH: So another point here. As

1 already pointed out, strictly speaking, and I'm not a real
2 geneticist, but polymorphism, as I understand now, refers
3 to something at greater than 1 percent incidence in the
4 poly -- population. So that gives rise to SNPs. I've
5 pretty much switched over to using SNVs in -- and that
6 basically include just talking about variation in general,
7 because this actually includes polymorphisms as well. So
8 here are some examples you've already heard about. And
9 Vasilis -- Dr. Vasiliou will talk more about alcohol
10 later. You've already heard about n-acetyltransferase.
11 P450s, there's several classic ones and I'll talk about a
12 couple of those. A lot of this has been worked out with
13 drugs actually.

14 [SLIDE CHANGE]

15 DR. GUENGERICH: So how do you get a
16 polyvariation then. I won't go through this. Most of the
17 time, you actually don't see these when there are
18 variations. That is I'm going to try one thing here. No,
19 that didn't -- that's the old slide.

20 Let me go back.

21 Okay. Whoops. I'll go back.

22 I'm trying to go back.

23 DR. GINSBERG: Yeah, I had the same problem.

24 DR. GUENGERICH: You did. Okay.

25 Well, what are we going to do about it?

1 DR. GINSBERG: The left arrow.

2 DR. GUENGERICH: The left arrow.

3 Okay. So I think we are here. A number of basic
4 things can hear -- you can actually have a base pair
5 substitution giving rise to a change in an amino acid.
6 You can also have base insertions or deletions. And these
7 usually -- you know, things cause proteins to stop
8 prematurely. You can insert codon and you can have other
9 issues too, including RNA maturation issues, which has
10 actually turned out to be pretty common then in some of
11 the P450 issues then.

12 [SLIDE CHANGE]

13 DR. GUENGERICH: Okay. So back in the 1990s, the
14 National Institute of Environmental Health Sciences got
15 all -- or NIEHS, got all excited and started something
16 called the Environmental Genome Project. This was when
17 Ken Olden was still the Director. And this was about the
18 time that it was -- they were about to finish the human
19 sequence.

20 And so they got the bright idea, well, this is
21 great. This will actually -- we can actually use this
22 information to explain variations in disease,
23 environmentally induced disease. So they started the
24 Environmental Genome Project. And this is blurb I wrote
25 for that. It was published in Environmental Health

1 Perspectives in 1998. And this all sounded pretty good.
2 So mind you, this was about 26 years ago.

3 [SLIDE CHANGE]

4 DR. GUENGERICH: And so we thought -- well, okay,
5 so what's some of the basis here. Well, you've already
6 heard a little bit about DNA repair. And it's very clear
7 that there are some big time variants there that actually
8 make a big difference. And these are very serious
9 diseases then associated with these. I won't go through
10 all of these. They're fortunately not too common. Some
11 of them are probably even embryonic lethal. But
12 basically, there's some really bad stuff happening here.
13 And, of course, up at the top, you actually have
14 environmental exposures. So some of these people who are
15 afflicted with these, for instance, are very, very
16 sensitive to sunlight, things like that. So the -- so
17 that's, you know, a part of the basis for going on with
18 this.

19 [SLIDE CHANGE]

20 DR. GUENGERICH: But -- and here's another case.
21 This is in cytochrome P450. It actually deals with
22 genetic issues in cyanide sensitivity. So as many as some
23 people know -- many of you know, some people are extremely
24 sensitive to cigarette smoke. And, you know, they can't
25 even go in a -- well, I guess it's changed now. It used

1 isolated incidence, but it's been difficult and I'll tell
2 you why. There's still some prospects out there. I'll
3 talk a little bit about 1A2. I'm not going to talk about
4 2A6. 1B1 is sort of still on the dock.

5 [SLIDE CHANGE]

6 DR. GUENGERICH: This goes back. I apologize for
7 the small print. This is a paper from 1973. It's a Shaw
8 and Kellerman study. And this is 1973. Shaw and
9 Kellerman, then at the University of Wisconsin, found that
10 they could actually take lymphocytes from people, from
11 smokers, and basically they were able to relate the
12 inducibility of what's called the AHH response, which is
13 basically cytochrome P450 1 enzymes to whether these
14 people were more likely to get cancer, lung cancer. And
15 they got really sort of a trimodal distribution.

16 [SLIDE CHANGE]

17 DR. GUENGERICH: Others went on. This is another
18 people -- paper from Dan Nebert. It turned out that this
19 was technically very messy. People started doing more and
20 it turned out it depended on what time of the year you
21 actually harvest the lymphocytes from people and things
22 like that, and it got to be very messy, and people were
23 kind of wondering about it.

24 [SLIDE CHANGE]

25 DR. GUENGERICH: So going on back now into the

1 1990s. This is work from Tetsuya Kamataki's work in Japan
2 in Hokkaido, and he showed that P450 1B1, not 1A1 is the
3 major AHH enzyme in human leukocytes. And this showed
4 this sort of modality too.

5 So the truth is at the end of the day, even after
6 51 years, we're not really sure about this and we've been
7 sort of stuck with this thing. And I don't think it's
8 really got there.

9 [SLIDE CHANGE]

10 DR. GUENGERICH: Here's another slide and I don't
11 know the answer here. Maybe Vasilis will talk -- well, I
12 don't know if he's going to talk about it. But there's a
13 relationship between P450 1B1 and glaucoma. And he and
14 Frank Gonzalez have done a little bit on this. You can
15 reproduce the defect in mice. But still to this day, and
16 maybe Vasilis can tell me the answer, we don't really know
17 the reaction that's involved here in terms of any of the
18 known substrates.

19 [SLIDE CHANGE]

20 DR. GUENGERICH: So drugs. So why do we consider
21 drug toxicology in this course. This is my toxicology
22 course. The -- well, as I tell them, some of these people
23 may be getting jobs in this area, but also -- yeah, there
24 are a lot of advantage of studying the toxicology of
25 drugs, because you actually know what people are exposed

1 to. You can control that. Whereas, with the things like
2 environmental carcinogens, we really have a hard time even
3 knowing what the carcinogens are in many cases, let alone
4 the dose.

5 [SLIDE CHANGE]

6 DR. GUENGERICH: So going into drugs, this is Bob
7 Smith. And this is a story of P450 2D6. And this goes
8 back to, I think, 1977. And basically, he's the one on
9 the right. I'm on the left there.

10 [SLIDE CHANGE]

11 DR. GUENGERICH: The two papers, one by Bob
12 Smith's group and the other by Geoff Tucker, and basically
13 they found a polymorphic variation in the ability to
14 metabolize debrisoquine. So the people on the right hand
15 of that graph are slower metabolizers. This is the
16 ratio -- the urinary ratio of the metab -- of the drug to
17 the metabolite. So the bigger the number, the slower the
18 metabolism. And at first they found that they were the
19 two groups, the extensive metabolizers and the poor
20 metabolizers.

21 Later on, it turned out there are ultrarapid
22 metabolizers, and I'll say more about those -- that in a
23 minute. And so this might look like pretty good then.
24 This was pretty real. And Bob Smith was actually one of
25 the people participating in this trial with the drug.

1 It's an antihypertensive. And he passed out in the test.
2 The -- they did it again and he passed out again. So he
3 thought that this was kind of real.

4 So why is this an issue? Well, with drugs,
5 basically, if you're a poor metabolizer, you're not going
6 to metabolize the drug away and the pharmacist or
7 physician will probably prescribe the same dose of drugs,
8 so you won't be clearing it out as fast. And the same
9 thing goes for any other chemical then.

10 So where are we today?

11 [SLIDE CHANGE]

12 DR. GUENGERICH: Well, the -- this is not the
13 latest run down, but it's one in terms of the list of all
14 the 2D6 alleles. Last time I tried to count, there
15 weren't just three groups. There were 160. Okay. And
16 there are probably a whole lot more out there.

17 Now, the other problem is this, and I'll see
18 if -- okay, yeah, you can see that loop that just came up.
19 It turns out that we actually don't know the effect of
20 most of these, in fact, probably fewer than 10 percent.
21 So you can actually do DNA sequencing a whole lot faster
22 than you can do serious biochemistry and try to find
23 what's really going on, so that's one of the problems.

24 [SLIDE CHANGE]

25 DR. GUENGERICH: So here's something that came up

1 fairly early in the business too. And this is also --
2 this is from Jeff Idle who is in Bob Smith's group and in
3 1983 published a Nature paper, so it must be important, of
4 the -- and basically, he -- they were looking at lung
5 cancer. And if you look at the two graphs, the frequency
6 plots, on the left-hand side, the slow metabolizers or
7 poor metabolizers are less likely to get lung cancer than
8 the normal extensive metabolizer. So this looked pretty
9 good and people got all excited and people started trying
10 to repeat it.

11 [SLIDE CHANGE]

12 DR. GUENGERICH: And we got interested in this,
13 because one of the obvious explanations would be that
14 there's something in tobacco smoke that is being
15 metabolized by P450 2D6 to an active carcinogen. Well, we
16 started looking for this. And, you know, it's kind of a
17 mess. We got some cigarette smoke condensate and it was
18 really hard to work with, because it kept killing all the
19 bacteria, and -- in the assays, and then eventually, we
20 found something from an extract of that that was being
21 metabolized by 1A2. Everything with 2D6 came out
22 negative. We could not find anything or any difference in
23 our studies.

24 [SLIDE CHANGE]

25 DR. GUENGERICH: Well, people went on and this is

1 about 1998 from Jeff Tucker and others, basically
2 epidemiologists couldn't repeat this or they did and
3 others couldn't. And basically they said we -- people
4 should just give up and basically people did. So there
5 was nothing to this after all those years and all that
6 money spent.

7 [SLIDE CHANGE]

8 DR. GUENGERICH: Here's something with a drug,
9 where a polymorphism actually -- or a variation -- well, I
10 guess it was a polymorphism. This is morphine metabolism.
11 And so basically, you know, one thing P450 2D6 does is
12 convert codeine to morphine. So if you're taking codeine
13 for some reason, it's converted to morphine and then
14 morphine, and I think one of its glucuronides or the
15 active principles.

16 [SLIDE CHANGE]

17 DR. GUENGERICH: So this is just the same thing
18 too. This has happened here. And so the problem is if
19 you're one of these ultrarapid metabolisms I alluded to a
20 few minutes ago. Basically, these people have I think up
21 to about 13 copies of the gene. They act -- it's
22 something called gene duplication, which is kind of weird.
23 So they have 13 times more enzyme than most of the other
24 people.

25 [SLIDE CHANGE]

1 DR. GUENGERICH: So the problem was, it's kind of
2 a sad case here, this is a woman, a mother -- young mother
3 who -- in Canada who was actually taking codeine. She was
4 breastfeeding her child. And basically, she was
5 converting the codeine to morphine too fast, and actually
6 she was okay, but the child died. So it's kind of a sad
7 case. But this I think was pretty clear as to what was
8 going on.

9 [SLIDE CHANGE]

10 DR. GUENGERICH: This is aflatoxin. I won't say
11 too much about aflatoxin. This is a summary of some of
12 the stuff we've done in my lab over the years. But
13 basically, one of the end -- one of the reactions here,
14 Cytochrome P450 we'll convert that to an epoxide or
15 actually two stereoisomers. And then that can be
16 hydrolyzed non-enzymatically or a little bit by epoxide
17 hydrolase.

18 [SLIDE CHANGE]

19 DR. GUENGERICH: So along comes a paper and this
20 is in PNAS, so it must be important, an epidemiology
21 paper. And they claimed that polymorphism in epoxide
22 hydrolase is affecting cancer then in China. It turns out
23 though that when you actually do the biochemical studies,
24 it turns out we'd known for a long time that the half-life
25 of the epoxide in water at neutral pH is about 1 second.

1 You can add epoxide hydrolase and you really don't speed
2 that up. So there's really no biochemical basis for that.

3 [SLIDE CHANGE]

4 DR. GUENGERICH: Let's talk about heterocyclic
5 amines. And these are the things, if you didn't know
6 that, they're formed in burned foods as a result of
7 pyrolysis. So if you're grilling, all that black crud is
8 full of heterocyclic amines. And these are actually very
9 potent bacterial mutagens and they're also potential human
10 carcinogens. I think they make a couple of the lists for
11 IARC or NTP. And they actually do cause cancer in rodents
12 for sure.

13 You have bioactivation through two enzymatic
14 steps. There's n-hydroxylation and then you can have
15 o-acetylation or perhaps sulfation and you get covalent
16 binding to cellular DNA. So anyway, it turns out -- let's
17 go back and talk about P450 1A1 and 1A2.

18 [SLIDE CHANGE]

19 DR. GUENGERICH: And Fred Kadlubar and I had
20 shown, I guess about 30 years ago, that caffeine is a good
21 marker for this, because P450 1A2 is metabolizing
22 caffeine. You can actually do a urinary test and people
23 will vary about 40-fold.

24 It turns out that subsequently with some of these
25 Manhattan plots and things like this in genetics, it turns

1 out that this locus here, which is for the inducibility of
2 1A1 and 1A2 or the AH receptor basically determines how
3 much coffee you can drink, okay? So that's basically a
4 way of looking at how much P450 1A2 you have.

5 [SLIDE CHANGE]

6 DR. GUENGERICH: So it's kind of a mess -- a
7 tricky situation, because we know that P450 1A2 can
8 activate a lot of these chemicals like the heterocyclic
9 amines. This is some -- from some work Rob Turesky and I
10 did. But basically, the human enzyme is an order of
11 magnitude more active than the rat enzyme. So that shows
12 why you sometimes animal studies aren't that great.

13 [SLIDE CHANGE]

14 DR. GUENGERICH: Here's some more work from there
15 with one of these called methyl IQx. And you can see that
16 humans vary. Some are a whole lot more active than the
17 rats, even more so than the inducible -- induced rats in
18 this particular study.

19 [SLIDE CHANGE]

20 DR. GUENGERICH: Well, Fred Kadlubar and Nick
21 Lang tried to do a bunch of epidemiology and they actually
22 looked at NAT. They also looked at P450 1A2 by
23 phenotyping. And they also looked at how much charbroiled
24 meat people said they consumed. It turned out you kind of
25 have to put all three of these things together to get any

1 kind of response. So that was only marginally different.
2 So it hasn't been great in terms of answer for what's
3 really important. I hit that.

4 [SLIDE CHANGE]

5 DR. GUENGERICH: Are we stuck here?

6 Okay. So -- okay, so what are the most likely
7 P450 prospects for genetic variation linkage with
8 environmental diseases?

9 1B1, yeah, there's something with glaucoma, but
10 we don't know if there's any environmental link or not.
11 1A1 I said a lot already about that. And even after 50
12 years, that's not really clear. 1A2, the strongest
13 possibility was probably -- of an association was probably
14 with the heterocyclic amines and the burned food. And
15 that's not really holding up.

16 2A6 I think has some potential. I haven't really
17 talked about that. This is connected with nicotine
18 metabolism. It's -- it may be due to an aversion to
19 smoking due to the handling of nicotine. I'm not sure
20 about that.

21 2E1 possibly with some of the small industrial
22 compounds and solvents and maybe benzene. Although,
23 there's limited evidence.

24 3A4 is more of an issue in drug-drug interactions
25 then.

1 And 3A5 is a cousin of 3A4 obviously. And this
2 is polymorphic. There's a ratio linkage. You have the
3 same issues as with P450 3A4. I don't think there's any
4 strong evidence that the variations in 3A4 or 5 are really
5 linked to any environmental chemicals, but they are for
6 drugs. Over half -- about half the drugs on the market
7 are metabolized by P450 3A4 and 3A5.

8 [SLIDE CHANGE]

9 DR. GUENGERICH: And very quickly, I won't talk
10 about alcohol dehydrogenase and aldehyde dehydrogenase.
11 The next talk will. GSTs you've already heard about.
12 About half the people are missing M1, half -- or about a
13 third are missing T1 or at least in Caucasians. There are
14 also possibilities with the UGTs sulfotransferases,
15 sulfotransferases are involved both in bioactivation, and
16 detoxification, and n-acetyltransferase.

17 [SLIDE CHANGE]

18 DR. GUENGERICH: Okay. What about non-genetic
19 variations in human xenobiotic metabolizing enzymes?

20 Well, this is another issue too. And this is why
21 it gets complicated. P450 2E1, you have not only the
22 polymorphisms, but you have induction by ethanol and
23 there's good evidence for a role here in the toxicity of
24 acetaminophen. I don't know if there's good genetic
25 evidence, but certainly with the inducibility. Alcoholics

1 are more likely to have problems with acetaminophen
2 toxicity.

3 1A1, 1A2, and 1B1 you had -- definitely have
4 induction by polycyclic hydrocarbons too. So you have to
5 consider any variance in the induction machinery. And 3A4
6 and 3A5 you have induction by many drugs.

7 [SLIDE CHANGE]

8 DR. GUENGERICH: Also, something has been
9 mentioned, transporters. This has all blown up, you know,
10 in the last 30 years or so. There are a lot of defects in
11 transporters. And unlike the P450s, there aren't just 57,
12 there about 500 different transporters in humans. And
13 these definitely make some differences in drug metabolism.
14 And they probably also do with environmental chemicals.

15 [SLIDE CHANGE]

16 DR. GUENGERICH: So back to the Environmental
17 Genome Project, which I mentioned before. As I said,
18 NIEHS got all excited about this and had there -- this in
19 their strategic plan. I don't think they're really doing
20 much with this. As far as I understand today, they seem
21 to be all in on the exposome as opposed to environmental
22 genome interactions then. So that's kind of the flavor.

23 [SLIDE CHANGE]

24 DR. GUENGERICH: So in the future, here are some
25 more of the problems we have. We have, you know, in vitro

1 assays. They may or may not be predictive of what happens
2 in people. And we also have the problem of relating
3 animals to humans as well, so it's -- toxicology is hard.

4 [SLIDE CHANGE]

5 DR. GUENGERICH: Really. And finally, this is
6 about the end. Going back to Dan Nebert. Dan wrote --
7 well, it's kind of an autobiographical review and annual
8 reviews in Pharmacology and Toxicology that's actually
9 quite good. And he covers a lot of stuff he's learned and
10 he's older than I am. So each -- here, this is very
11 important. Each patient's response to a drug or
12 environmental toxicant is now considered to reflect the
13 combination of genetics, epigenetic effects, which I
14 haven't even talked about, endogenous influences,
15 environmental exposure to other things and each
16 individual's microbiome, which I haven't talked about
17 either.

18 So all but the genetics are continually changing.
19 And so this is why it's tough to really tease things out.
20 There are certain -- I'm sure there are genetic
21 differences in people that relate to susceptibility, but
22 we're trying to look at these in terms of these other
23 background and then also remembering paracelsus. It's the
24 dose that's really important.

25 [SLIDE CHANGE]

1 DR. GUENGERICH: And I won't go into this. This
2 is just my lab.

3 Thank you very much.

4 (Applause).

5 CHAIR LOOMIS: Thank you very much, Dr.
6 Guengerich. We do have five minutes or so for questions
7 of clarification, if there are any?

8 One.

9 COMMITTEE MEMBER BUSH: Just a clerical question.
10 Is Dr. Guengerich's material available in the materials
11 that we were -- had access to? Will the presentation be
12 there?

13 COMMITTEE MEMBER EASTMOND: It is.

14 COMMITTEE MEMBER BUSH: It is there.

15 DR. GUENGERICH: Yeah, I think so. You're
16 welcome to it.

17 COMMITTEE MEMBER BUSH: Thank you.

18 CHAIR LOOMIS: Any other questions?

19 COMMITTEE MEMBER EASTMOND: I have a question.

20 CHAIR LOOMIS: Yes.

21 COMMITTEE MEMBER EASTMOND: You mentioned there
22 were hundreds of thousands of CYP genes. That -- am I
23 correct that is there 57 in humans, but all told there
24 were like 600,000.

25 DR. GUENGERICH: Yeah, right.

1 COMMITTEE MEMBER EASTMOND: That seems like an
2 amazing number. How is that ever -- how is this compiled?

3 DR. GUENGERICH: Well -- oh, yeah, well very
4 simply. Well not simply. It took a lot of work. But
5 basically this includes all the species that have been
6 examined. And, you know, we've got -- they're in
7 bacteria. They're in plants. They're in other
8 microorganisms. So, for instance, when you get into
9 plants, all plants have hundreds. I think wheat has
10 something like 1,200. So basically, humans and mammals, I
11 should say, we're kind of consumers. So we have a handful
12 of these to sort of eat up everything we eat. But in
13 plants, you may have a pathway that just makes one color
14 of the flowers that needs a bunch of P450.

15 So it's all of them. And so basically how do
16 you -- how do you actually know these are P450? There's a
17 signature sequence about -- around these cysteines that
18 binds the heme. So if you see that, boom, it's a P450.
19 These have not all been characterized. I don't know
20 they'll every -- they ever all will be. Yeah, good
21 question.

22 CHAIR LOOMIS: Thanks.

23 Are there any other questions at this time?

24 All right. Seeing none, I think we should make a
25 decision about when to take lunch.

1 It's 11:30. I don't know if doing it now is an
2 option. But let me confer with Dr. Zeise and the
3 Committee about whether we take lunch now or proceed with
4 the next speaker and then break and come back for
5 discussion.

6 Thoughts?

7 CHAIR LOOMIS: Okay. Well, there's the answer.
8 Let's proceed then.

9 Our next speaker, Dr. Vasilis Vasiliou is
10 professor of Epidemiology and Chair of the Department of
11 Environmental Health Sciences at the Yale School of Public
12 Health, also with appointments at the Yale School of the
13 Environment and School of Medicine. In his laboratory,
14 they utilize state-of-the-art integrated system approaches
15 that includes metabolomics, lipidomics, exposomics, tissue
16 imaging, mass spectrometry, deep learning, and human
17 cohorts and genetically engineered mouse models to
18 induce -- elucidate mechanisms and discover biomarkers and
19 novel interventions for human disease.

20 Dr. Vasiliou, the floor is yours.

21 (Thereupon a slide presentation).

22 DR. VASILIOU: Thank you. Now, you can.

23 Well, thank you very much. Thanks for the
24 invitation to be here. And I can tell you how stimulating
25 it is to follow Fred Guengerich one of the -- maybe the

1 top guru on P450s metabolism.

2 Anyway, I was lucky during my career, I will show
3 you a few slides that I had worked with all of these --
4 even Bob Smith I still remember when he visited University
5 of Cincinnati and he was telling us the story, about 2D6,
6 but I will go ahead and -- Oops. Can you move the slides?

7 [SLIDE CHANGE]

8 DR. VASILIOU: Okay. So here, I'm going to
9 briefly tell you about my academic history, which has to
10 do with environmental exposures and the reason that you
11 invited me here on aldehyde dehydrogenases.

12 So I started my PhD in University of Ioannina in
13 Greece. And then I followed up with a post-doctoral and
14 Fogarty Fellowship with Dan Nebert then at University of
15 Cincinnati, where we studied the gene-environment
16 interactions, what Fred was saying, on -- but mostly going
17 on aldehyde dehydrogenase P450s. And then towards the
18 end, we converted those to antioxidant systems including
19 glutathione.

20 After that, I went to University of Colorado,
21 where I became the Director of Environmental Health
22 Sciences and Toxicology Program. And I continue my work
23 on the gene-environment interactions. And I also give
24 more emphasis to alcohol-induced tissue damage.

25 In 2014, I moved to Yale and I'm the Chair of

1 Environmental Health Sciences. I still do genome-exposome
2 interactions in obesity, diabetes, cancer, and
3 neurodegenerative disease. And I really liked Fred's
4 comment about the NIEHS reaching from genetic
5 susceptibility to exposome, which is actually I think we
6 should be somewhere in the middle, because we deal -- we
7 still need to identify susceptible individuals or even
8 resistant individuals to the exposome.

9 That still is my time.

10 (Laughter).

11 [SLIDE CHANGE]

12 DR. VASILIOU: Okay. First paper for -- if -- it
13 was 1948 by Efraim Racker on the aldehyde dehydrogenase.
14 That was the definition.

15 [SLIDE CHANGE]

16 DR. VASILIOU: This was the discovery of aldehyde
17 dehydrogenase, which was followed up with -- followed up
18 with another paper, "Essential Role of Thiol Groups on
19 Aldehyde Dehydrogenases." So these were the two major
20 papers that they essentially brought ALDHs into the
21 field followed up with --

22 [SLIDE CHANGE]

23 DR. VASILIOU: -- Oh, with Richard Deitrich's
24 work from University of Colorado who passed away in 2018.
25 So this -- there were two papers by him in JBC on the alde

1 -- describing the aldehyde dehydrogenases. And then I was
2 lucky enough and we published this huge review on ALDH
3 inhibitors, which we just got -- they got so many
4 citations, we just got invited to give an update on this
5 recently. And it's ready to go after I complete the
6 toxicology chapter for Dr. Guengerich toxicology.

7 (Laughter).

8 [SLIDE CHANGE]

9 DR. VASILIOU: So this is the picture with
10 Richard Dietrich. As I told you, I've been really lucky
11 enough in my career to meet with all these people. This
12 is the legend of aldehyde dehydrogenases. And believe it
13 or not, he was really in his late 80s and he was still in
14 the lab working with me. Over there we're doing catalase
15 experiments in aldehyde dehydrogenase.

16 [SLIDE CHANGE]

17 DR. VASILIOU: So non-P450 metabolism of
18 aldehydes. So you can see it's missing the P450s in here.
19 So I bring -- this is -- this is a slide actually which we
20 had generated really early with one of my post-docs. And
21 if you look at any of the alcohol metabolism, now they're
22 using the same pattern. This is alcohol converted to
23 aldehyde by aldehyde -- alcohol dehydrogenases.
24 Unfortunately, I do not have the time to go all over. A
25 lot of genetic polymorphisms from this family.

1 [SLIDE CHANGE]

2 DR. VASILIOU: So what triggered me to go into
3 that was a gene that I actually -- believe it or not, I
4 discovered this gene before the Genome Project through
5 the -- if you remember the express sequence tags, the
6 ESTs. So we figure out there was this aldehyde
7 dehydrogenase. We called ALDH16A1, which was lacking the
8 catalytic cysteine 302 in humans and, you know, in animal
9 species. And what triggered me on that was, at that time,
10 there was a big review about the dead enzymes. And what
11 dead enzymes was the kinases. As you can see from the
12 nice scheme over there, there were -- you know, they're
13 losing the catalytic activity and they perform as
14 something else.

15 So on the right-hand side, I don't know if I can
16 point. I don't think the pointer is working. On the
17 right-hand side, you can see how the clusters -- this is
18 evolutionary. Divergence of the genes you can see in the,
19 what I call, higher animals, all the ALDH16A1 they have
20 lost the catalytic activity.

21 On the other lower animals and in bacteria, they
22 do have catalytic activity. The only exception was the
23 frog. So what happened during the evolution, this ALDH
24 lost the catalytic active site and they perform a
25 particular form -- function, which is independent of the

1 function.

2 I put my computer here.

3 [SLIDE CHANGE]

4 DR. VASILIOU: Anyway, so this is the Super
5 family. This is aldehyde dehydrogenase, what I call super
6 family, 19 human genes. And this is how they classed it
7 based on the amino acid similarity. And this is -- you
8 can see there are distinct chromosomal locations.
9 However, they are supposed to be some gene duplications
10 like the 3A1 and 3A2. 3B1, 3B2. They're adjacent to
11 chromosome 11 -- 17 and 11. But all the other ones have
12 distinct phenotypes.

13 Why they're important and how you can say that
14 these enzymes are important? Look at your right-hand
15 side, you can see what I call the mutational phenotypes.
16 And there are a lot of mutations which are associated
17 with -- there a lot of mutational phenotypes -- there are
18 a lot of diseases which are associated with mutations on
19 these genes. And this is very distinct.

20 So what I have decided, I'll show you one or two
21 of them, but then we will focus on aldehyde dehydrogenase
22 2 and in response to carcinogens and carcinogenesis.

23 [SLIDE CHANGE]

24 DR. VASILIOU: Where is the computer for that?
25 Is it -- maybe -- all right. I'm restricted.

1 So type II Hyperprolinemia, it's an autosomal
2 recessive disorder associated with seizures and mental
3 retardation. I actually have to tell you most of these
4 ALDH mutations are associated with CNS dysfunction.

5 In this patient, we have 10 to 15 times higher
6 proline plasma levels, 10 to 40 times higher pyrroline
7 5-carboxylate level -- plasma levels. And the mutations
8 have been associated on 4A1. You can see, this is the
9 proline arginine metabolism, and this is where the enzyme
10 is. So if the enzyme is not there, you have the higher
11 levels and they can cause all this -- all these issues.

12 How did you guys manage to change your slides?

13 [SLIDE CHANGE]

14 DR. VASILIOU: Okay. Oops, I'm sorry. Oh, boy.

15 Hydroxybutyric aciduria, again another autosomal
16 recessive trait discovered in 1981. This is on the --
17 characterized by again retardation in psychomotor and
18 language development, hypotonia, and ataxia. This is
19 accumulation of 4-hydroxybutyric acid and GABA. You can
20 see the metabolism of GABA in there. You can see the
21 metabolism of ALDH5A1.

22 For both of the small dose, we have knockout
23 models that, you know, this mechanism has been identified
24 in great details.

25 [SLIDE CHANGE]

1 DR. VASILIOU: Sjögren-Larsson Syndrome, another
2 very important syndrome, which is due to the microsomal
3 mutations in gene, including the microsomal ALDH3A2, which
4 is involved in the fatty aldehydes -- fatty -- that are
5 coming from fatty alcohol. And this again coming to
6 leukotriene metabolism. And this is very important. We
7 have -- really, people have identified and we have done
8 also find the problem.

9 So the mutation associated again mental
10 retardation, spastic di- and tetraplegia, chronic
11 ichthyosis, so it's like you have the fish scaling in your
12 skin and also you have macular dystrophy.

13 So this is the three that I have chosen to show
14 you regarding the changes into the endogenous pathways
15 that Fred was talking about. It's not only the
16 environmental, we have also endogenous. If I can be able
17 to change the slide.

18 Oh, can you bring me the computer? Oh, that
19 would be perfect. Okay. Perfect. Thank you. Perfect.

20 [SLIDE CHANGE]

21 DR. VASILIOU: All right, so aldehydes.
22 Aldehydes potent electrophiles. Again, our toxicology
23 classes, aldehydes are molecules with really high
24 electrophile potency. And this is just from a recent
25 review that I found in Chemical Research in Toxicology,

1 which it was more, you know, easy to show you. So on the
2 left, you can see the different aldehydes. On the
3 right-hand side, you can see all the sources that those
4 aldehydes can be generated. And they can be generated
5 from plenty of sources including drinking, smoking, fumes,
6 food sources, industrial, cosmetics, and, of course, don't
7 forget the endogenous. And of course, you do have the
8 direct metabolism as well.

9 [SLIDE CHANGE]

10 DR. VASILIOU: So here is -- we have a nice
11 review. And I provided this review for your -- also is
12 the non-P450 aldehyde dehydrogenase enzyme. I heard from
13 people that they really like that, because we describe all
14 the -- all the NA -- the reactions catalyzed by those
15 aldehyde dehydrogenase, but they have taken one to show
16 you, which indicates that many aldehyde dehydrogenases,
17 they can work to metabolize to get rid of one of the
18 aldehydes. This is malondialdehyde on the left, as you
19 can see, ALDH1A1 and 2. And you go to malonic
20 semialdehyde. And then you have also the formation of the
21 acetaldehydes going acetate. And you have a bunch of
22 aldehydes involved including the 16A1 in there. So you
23 can see a bunch of aldehyde dehydrogenases can be involved
24 in the metabolism of a particular molecule. Now,
25 malondialdehyde is also formed during lipid peroxidation

1 and this is rather important.

2 [SLIDE CHANGE]

3 DR. VASILIOU: So this is a scheme that I was
4 telling you. This is what I have spent my life on that in
5 terms of alcohol metabolism. On the right-hand side, you
6 can see my first paper as a graduate student, "The
7 Mechanism of Alcohol Intolerance Produced by Therapeutic
8 Agents." This is what we call the disulfiram reaction.
9 And disulfiram is a drug that you can take and inhibits
10 ALDH2. It has been used to prevent the alcoholism because
11 it makes you feel really bad. However, I can assure you
12 disulfiram is a very nasty drug causing a lot of changes
13 also in Cytochrome P450s and also Phase II enzymes.

14 Anyway, again, the scheme, I'm not going to go
15 into there. What I want to draw your attention is that
16 the acetaldehyde -- the one I introduced, acetaldehyde can
17 cause DNA and protein adducts. And also, you have during
18 the metabolism of P450s, which Fred was talking about, you
19 have the generation of reactive oxygen species,
20 glutathione depletion, and oxidative stress.

21 So again, if you have this ALDH, the ALDHs can
22 really help in blocking all these effects from one
23 standpoint. And the second ALDHs can metabolize
24 acetaldehyde to acetate, which then it's converted to
25 acetyl coenzyme A, which then is taken by the Krebs cycle

1 and can go further down.

2 Now, acetaldehyde -- acetyl coenzyme A, of
3 course, it can cause epigenetic changes, which may have an
4 effect into the cancer incidence. But again, we want to
5 focus on these changes.

6 [SLIDE CHANGE]

7 DR. VASILIOU: So everybody knew that ALDH2 is
8 the major enzyme on metabolizing acetaldehyde. And this
9 has a very low Km, less than 5 micromolar. And by the
10 way, the polymorphism on ALDH2 is the most well studied
11 and the best known polymorphism that we know so far. And
12 we know based on epidemiology and everything.

13 So when everything was started, I knew from the
14 literature that, of course, we had ALDH1A1, which has a
15 what we say a higher affinity, like a 50 to 100
16 micromolars for acetaldehyde, but then it was described
17 this enzyme A actually back in the old days, it was called
18 ALDH5 or ALDHX. So we've got the cDNA from the ESTs
19 actually and then we cloned the gene. We expressed the
20 gene and we found, yes, that the ALDH1B1 is metabolizing
21 acetaldehyde and nebulize also the other aldehydes.

22 And I'm really proud to tell you because this
23 gene -- and unfortunately, we don't have to tell -- time
24 to tell you all this. This gene we found that this is a
25 biomarker for colon cancer. And indeed, our studies were

1 followed with genetic experiments that showed that this
2 was actually the case. So ALDH1B1 is a determinant for
3 colon cancer. And actually, there is a new paper just
4 came out that ALDH1B1 can bind to a virus as well. This
5 is what I was telling you before.

6 [SLIDE CHANGE]

7 DR. VASILIOU: So this is very important.

8 So let's go to the human ALDH2 alleles. We're
9 talking about SNVs or SNPs before. And I just want to
10 show you how complex is our DNA. I was just playing again
11 with the databases on the 25th. There are 18,788 single
12 nucleotide polymorphisms in the database for ALDH2.

13 Do you know which one has the sig -- only
14 clinical significance? Only one, ALDH2*2. And the
15 ALDH2*2 is just an amino acid change that is associate --
16 essentially cause the lack of activity. And these are
17 responsible of what we call, "the flushing syndrome."

18 What you can see on the right-hand side is a
19 colleague when I was a post-doc in Dan Nebert's lab. On
20 Friday afternoon, we had happy hour. And back in the old
21 days, we did not have cell phones. So I had to take my
22 car, go home, take the camera and come back.

23 So this is a picture before and after just a
24 little -- a little thing of beer. So these individuals
25 with this mutation they -- the face becomes really red.

1 And if I can give you another lecture. If you try to make
2 the combinations between AD -- alcohol dehydrogenase
3 polymorphism, which lead to a faster formation of
4 acetaldehyde, and then also the ALDH blockage that you get
5 this fully flushing syndrome.

6 The problem with that is, and you have to be
7 careful, if you force yourself to drink more, you can die
8 from acetaldehyde toxicity. Die. I mean, coma. You go
9 to coma and you die.

10 Now, the problem with that, and as we'll discuss
11 is, that if people -- they have one of these alleles they
12 metabolize less. And if they're forced to drink or if
13 they drink, they have higher risk, not only for GI, but
14 for upper digestive cancers. And I show you -- I present
15 you some of the evidence.

16 [SLIDE CHANGE]

17 DR. VASILIOU: So a little bit more on this to
18 show you why this -- and this is, as I said, the most well
19 studied polymorphism in terms of the protein. So Henry
20 Weiner in Purdue had done a lot of work on that. The
21 enzyme is a tetramer. As I told you it's one amino acid
22 change. The ALDH2*2 allele is dominant, which means even
23 if you have one copy, all your enzyme is completely
24 inactive. And this is because it changed the
25 conformational, the site where the NAD binds and

1 essentially makes this catalytically inactive.

2 Even heterozygotes, they have 6 percent of the
3 wild-type activity. So, you can see the allele frequency
4 in eastern -- or what we call eastern Chinese and
5 Japanese. The Japanese actually have the higher
6 frequency, Chinese, Koreans, Taiwanese. Africans, 0;
7 Caucasian, 0; Native American, 0; worldwide is 7 percent.

8 However, these numbers will start changing. More
9 people from Asians start getting married with Caucasians.
10 So then we're going to have a penetrance of this allele
11 we're going through and it's going to happen and it does
12 happen.

13 However, what I want to draw your attention to is
14 very low incidence of ALDH2* allele in alcoholics.
15 However -- in alcoholics. However, if there is alcohol
16 use or environmental exposures, the case of cancer in
17 these individuals is higher. Another thing is I remember
18 was one alcohol international conference somebody tried to
19 show that there was an alcoholic with ALDH2 homozygosity.
20 But 10 labs followed up on that and it was not true. So
21 there is no alcoholic with ALDH2 homozygosity today.

22 [SLIDE CHANGE]

23 DR. VASILIOU: Again, this is just some of the --
24 you're going to find the information known as rs671. And
25 this is again prevalent in East Asian population, but 560

1 systematic review, a meta analysis, a modeling study,
2 which further implicates the ALDH allele ALDH2*2. And on
3 the right-hand side, this is just published in Science
4 Advances, "Genetic Architecture of Alcohol Consumption
5 Identified by Genotype-Stratified GWAS and Impact on
6 Esophageal Cancer Risk in Japanese People." So in --
7 really, it says more about the role of this polymorphism
8 that happened.

9 [SLIDE CHANGE]

10 DR. VASILIOU: So why this -- why this could be
11 associated with cancer. Well, very simple, you have the
12 formation of acetaldehyde, which has been classified as a
13 Group 1 carcinogen by IARC. It is linked with multiple
14 cancers as we said. And it forms adducts and impairs --
15 that's another -- the reason I'm on these slides is I want
16 to show you that it has the ability of impairing the DNA
17 repair mechanisms and, you know, leading to
18 susceptibility. On the right-hand side, I have put two of
19 the -- two nice figures from this analytical Chemical
20 Recessive in Toxicology article, which indicates there is
21 a metabolism. And then the metabolites can also go and
22 cause DNA damages. And these DNA damages then they can
23 come. They affect the DNA repair. They can affect --
24 they can affect, you know, also the damage.

25 So you may have the mutation. You may have the

1 damage, but as I think Fred also mentioned, there is DNA
2 repair, which can take care of business and can correct
3 the abnormalities and bring this normal. However, if you
4 do have mutations in there, like BRCA1, BRCA2, then you
5 are in trouble and we'll show you that.

6 [SLIDE CHANGE]

7 DR. VASILIOU: So very quick. This is
8 acetaldehyde that can form with the deoxyguanosine. It
9 can form adducts. And also, you know, you can have 2
10 molecules. So if aldehyde -- they can form aldehyde.
11 They can form this methyl-gamma-hydroxy-para-dG adduct.
12 And this is another important -- I have a couple of slides
13 just to show you how important it is.

14 Remember, acetaldehyde can also induce further
15 lipid peroxidation, which generates 400 lipid -- during
16 lipid peroxidation, you have the formation of 400
17 different species -- aldehyde species. And among those
18 aldehydes, as I told you, is malondialdehyde,
19 4-hydroxynonenal, acrolein, and all the other alpha, beta,
20 and saturated aldehydes, which is very potent. And they
21 can also induce the DNA adducts. So it's not only
22 acetaldehyde causing the adducts, but also the lipid
23 peroxidation.

24 [SLIDE CHANGE]

25 DR. VASILIOU: Again, this is a very nice picture

1 for you and for your notes -- you can see on the right
2 hand, you have a single molecule of acetaldehyde. You
3 have one type of adducts, 2 molecules. And also, as I
4 said, through the reactive oxidant species, you have the
5 formation of these adducts.

6 [SLIDE CHANGE]

7 DR. VASILIOU: And I tried to bring you as much
8 as I could to show you that. And, of course, you can say,
9 well, you have -- you have DNA adduct information. So
10 what's going on?

11 Well, first of all, you have all the consequences
12 of those adducts include frameshift mutations, DNA
13 interstrand cross-links, DNA intrastrand cross-links, and
14 you have base-pair mutations, deletions, rearrangements.
15 And also you have double-strand break-ins, sister
16 chromatid exchanges. So there's a lot of things that they
17 can occur in there.

18 [SLIDE CHANGE]

19 DR. VASILIOU: This is from our review. I just
20 put it in this morning to tell you the truth. I almost
21 forgot about it. We have a recent review on the molecular
22 mechanism of alcohol-induced colorectal cancer, which, for
23 some reason, doesn't show very well because of the colors.
24 But this picture -- I really like this, because it has the
25 effects of ethanol on inflammation and the cytokines. And

1 if you have the effects of acetaldehyde on BRCA2, then you
2 can -- the acetaldehyde in addition of causing adducts, it
3 can decrease or it can attack the BRCA2 enzyme. And then
4 you can have this induced haploinsufficiency of the
5 enzyme, and then you can have genomic instability.

6 [SLIDE CHANGE]

7 DR. VASILIOU: And again, look at this, and I
8 said -- what is the physiological relevance? Look at the
9 E picture in here. And you can see this is a western blot
10 indicating the molecular size of this protein, 250
11 kilodaltons in the BRCA2. And you can start seeing
12 effects of degradation by acetaldehyde in the level of 4
13 and 6 millimolar. And they have used up to 30 millimolars
14 to get complete done. I mean, I understand sometimes you
15 have to use we're doing dioxin research. We're using high
16 levels to identify that. But these kind of doses are kind
17 of, you know, really unreal.

18 [SLIDE CHANGE]

19 DR. VASILIOU: Anyway, however, we have to be
20 giving credit to the people they have identified, the
21 BRCA1 and BRCA2, protect against endogenous aldehyde
22 toxicity. These are very solid experiments. They have
23 been published again.

24 So the whole idea, the whole story has started.
25 If people with a BRCA2, they have -- in a combination with

1 the ALDH2 polymorphism, they have higher incidence. Now,
2 this is what epidemiology can become tricky in the way of
3 causative and association. This is a paper that was
4 published in 2022, which says lack of the impact of ALDH2
5 polymorphism, the variant, on breast cancer development in
6 Japanese with BRCA1 or 2 mutation carriers. So again,
7 this is epidemiology. We don't have any data yet, but
8 this is -- I always when I do my science and my lectures,
9 I'd like to put both sides of the literature, which has
10 been published.

11 [SLIDE CHANGE]

12 DR. VASILIOU: So this is -- this is what I was
13 telling you before for the digenic effect. This is one.
14 There is a combination of the alcohol dehydrogenase 5 and
15 ALDH2 polymorphism. And this is a healthy individual. So
16 when the enzymes both are present, you have endogenous
17 formation of formaldehyde. Formaldehyde is capable of
18 causing DNA damage, and then -- but the DNA repair takes
19 care of that, so you have normal blood cells. However, if
20 you do not have -- if you have a combination -- actually,
21 in these individuals, what they have found for this is
22 there is a lack of ADH5 and ALDH2, then you have decreased
23 blood cells, okay? And if -- and this can be done by
24 either having decreased metabolism or decreased DNA
25 repair. So it's in both cases a very big case.

1 [SLIDE CHANGE]

2 DR. VASILIOU: One thing that I want to point out
3 though between these two phenotypes, there is a skin
4 hyperpigmentation. And I have to tell you we have
5 published that in the ALDH2 knockout mice. You put them
6 on the alcohol, there is a high skin hyperpigmentation in
7 there, which we have shown that it increases and looks
8 like this might be the combination for the -- for the
9 AD -- ADED syndrome. And this is the syndrome that I told
10 you.

11 [SLIDE CHANGE]

12 DR. VASILIOU: And this is the syndrome that I
13 told you. It's an autosomal recessive digenic multisystem
14 disorder characterized by global developmental delay and
15 impaired intellectual development, onset of bone marrow
16 failure and myelodysplastic syndrome in childhood and poor
17 overload growth and source stretcher. So this is very
18 well known and it's been first discovered by the Japanese
19 group and there is a lot of studies later going on.

20 Anyway, the point I want to make there, one more
21 time, anything that has to do with dysregulation of
22 aldehyde metabolism, it has -- always have to do with
23 something with CNS and developmental delay, intellectual
24 development and so on.

25 [SLIDE CHANGE]

1 DR. VASILIOU: Again, I'm going to pass through
2 this very quick. This is environmental exposures. This
3 is endogenous source. You have cytotoxic aldehydes. You
4 have, first of all, the aldehyde -- tier one is aldehyde
5 detoxification system. Tier 2 is the DNA repair. If have
6 something goes wrong, in either of those, you can have a
7 case of the toxicity.

8 [SLIDE CHANGE]

9 DR. VASILIOU: And again, this is the same
10 picture essentially indicating these two enzymes for this
11 syndrome. And on the right-hand side, you can see the
12 formaldehyde adduct, the acetaldehyde adduct, and also
13 some interactions with protein that they occur in these
14 individuals.

15 [SLIDE CHANGE]

16 DR. VASILIOU: So talking about vinyl acetate and
17 DNA adducts, I found this really nice paper that they're
18 talking and they have done LC-MS analysis. This is where
19 the future -- this is where we're going, especially in --
20 I guess Fred can help me on that is the adductomics. This
21 is an area that we're going towards to develop a more
22 precise hazard identification and risk assessment is
23 identifying this and this adducts that they may occur.
24 This is a model though of having rats that they expose
25 them to vinyl acetate, inhalation, and they identify those

1 adducts into the nasal cavity and to a very less extent
2 into the systemic circulation, which means whatever is
3 there, it's there to stay. And it may cause problems.
4 And essentially, vinyl acetate I think is associated with
5 some increased distance with nasal cancers.

6 [SLIDE CHANGE]

7 DR. VASILIOU: And this is just the basic
8 reaction. Vinyl acetate, through our carboxylesterase,
9 it's converted again to acetaldehyde. And, of course, it
10 can form the adducts. These adducts have been measured
11 and identified. And remember, the other thing is you can
12 also have an increased lipid peroxidation, which these
13 people did not include there. But this is the adductomics
14 in here. And these adducts were identified in the nasal
15 cavity of this.

16 [SLIDE CHANGE]

17 DR. VASILIOU: This was a duplicated slide.
18 I apologize for that.

19 [SLIDE CHANGE]

20 DR. VASILIOU: Again, what I want to tell you
21 there is DNA repair pathways that they protect against not
22 only acetaldehyde but aldehyde mutagenesis. And this is a
23 beautiful paper I just found that was published in January
24 8, 2024. It's still BioRxiv. I said published, but
25 essentially, they have used the yeast and they have found

1 multiple pathways by which these adducts they can be
2 prevented. So the importance of the DNA repair is huge.
3 And any changes, any polymorphisms there or any effects
4 that you have, and this is -- we know that there are
5 several in human population, that can make the individuals
6 more susceptible to those environmental chemicals.

7 [SLIDE CHANGE]

8 DR. VASILIOU: Another thing that I want to
9 stress out and I'm getting close to be done is the
10 importance of the endogenous oxidative stress. I remember
11 Bruce Ames they were saying there are about 10 to the 8
12 oxidative hits in our DNA per minute. Okay. So what
13 happens in that, what happens? Well, you have the
14 oxidative stress and you can have that. So the whole idea
15 is how you can distinguish the endogenous and exogenous.
16 How you can take risk assessment to the next level and how
17 you can do a total global thing, because it could be
18 endogenous, it could be exogenous, but they both could be
19 interlinked.

20 So in this method, this -- these people, this
21 group developed again an LC-MS/MS method, which
22 essentially use stable isotopes onto the exogenous
23 molecule. And then they can identify the adducts by that.
24 I think this is huge. This is really important. And this
25 will kind of open the field of not only going further deep

1 into the mechanism, but also help us to determine a little
2 bit of the better risk assessment.

3 [SLIDE CHANGE]

4 DR. VASILIOU: So I know I got you tired, but I
5 want to tell you that the ALDH2 polymorphism is associated
6 with increased cancer incidence following the exposures to
7 environmental chemicals. The mechanism of induced DNA
8 adduct formation and decreased repair mechanism. There is
9 an increased risk for individuals with ALDH2 polymorphism,
10 particularly when coupled with conditions of impaired DNA
11 repair, such as Fanconi anemia. Things to consider that I
12 did not have the time to go over today is the effects of
13 aldehydes and other aldehyde -- of acetaldehydes and other
14 aldehydes, you know, onto the epigenome, and also
15 something that I have mentioned about 30 years ago. I
16 never got into it, how acetaldehyde could affect
17 mitochondrial DNA?

18 And the reason is ALDH2 is a mitochondrial
19 enzyme, so acetaldehyde does go to the mitochondria. So
20 this is something that, you know, perhaps we need to think
21 about it and we need to, you know, get back into it.

22 [SLIDE CHANGE]

23 DR. VASILIOU: So thank you very much for your
24 attention.

25 (Applause).

1 CHAIR LOOMIS: Thank you very much, Dr. Vasiliou.
2 I think we have a few minutes again for questions of
3 clarifications, if there are any?

4 This way. That way. Yes.

5 COMMITTEE MEMBER BESARATINIA: Thank you very
6 much, Doctor. It's on. In one of your introductory
7 slides, you mentioned that ALDH has antioxidant properties
8 as well as absorbs UV. In its capacity to absorb UV, does
9 it function like a chromophore and then undergo
10 photosynthesis reaction to produce ROS and cause
11 oxidative damage as well or does it do it through a
12 different mechanism?

13 DR. VASILIOU: Well, that's a good question. The
14 question is how the U -- how ALDH can absorb UV. This is
15 based on our study and this is a beautiful. Our
16 corneal -- our cornea in the mice, they express aldehyde
17 dehydrogenase 3A1 as much as about 30 percent of the total
18 water soluble protein. And this is how I started looking
19 at the effects of that -- how -- why it's there. I mean,
20 Joram Piatigorsky has called that as gene sharing. So the
21 lens -- essentially, this started from the lens and we
22 extend it into the cornea. The lens, they're
23 protein-containing organs that they have taken several
24 genes. And they essentially use them for having the
25 transparency.

1 So we believe that they did the same thing with
2 aldehyde dehydrogenase. Anyway, to make a long story
3 short, we have done several studies that they have shown
4 that UV, through some amino acid. I don't remember which
5 one it is, they absorb UVA, and they commit suicide. So
6 what they do is they absorb the UVA or the other reactive
7 oxygen species, and they protect further oxidative stress
8 in delicate tissues like cornea.

9 And I don't think it's the case that they can
10 further -- they can have further induction. It's on the
11 protective side. So they -- just like the -- some of the
12 DNA repair enzymes would they get them -- the methylation
13 and they commit suicide and it's the same exactly thing.

14 COMMITTEE MEMBER BESARATINIA: Thank you.

15 DR. VASILIOU: And we have also shown an indirect
16 antioxidant capacity by which ALDH helps in the
17 regeneration of the NADPH, which converts GSSG to GSH, and
18 you have further glutathione to respond.

19 CHAIR LOOMIS: Okay. Thanks. Are there any
20 other questions of clarification here?

21 No. No. All right. Well, thank you very much.
22 Appreciate it.

23 DR. VASILIOU: Thank you.

24 CHAIR LOOMIS: At this point, I'm going to
25 propose we break for lunch. And before we do that, I'm

1 supposed to read you a reminder about the Bagley-Keene
2 Open Meeting Law.

3 So during lunch breaks, you're not allowed to
4 talk amongst yourselves about the subject matter of the
5 meeting. That includes phone calls, texts, chat, and
6 in-person discussion. It's best if you don't talk to
7 third-parties about the items being discussed. And if you
8 do, then you need to disclose that fact that you had a
9 discussion and give the general content of the discussion,
10 so it's part of the public record.

11 So it's recommended that you talk about something
12 else, like, you know, the weather or whatever. All right.
13 So lunch is scheduled for 45 minutes. It's now 12:16, so
14 let's convene back here at 1 o'clock.

15 COMMITTEE MEMBER EASTMOND: Can I ask a question
16 to your attorney. Is that really necessary not to talk
17 about the subject of the meeting with the speakers during
18 lunch.

19 SENIOR ATTORNEY MORIOKA: The goal is that all
20 discussions about the relevant materials are in front of
21 the public, so that if you have pertinent discussions,
22 then the public has knowledge about what you're talking
23 about. But I understand that there are no vote -- that
24 there is no voting at this particular meeting, so it's not
25 as though you're influencing a vote in any way. We just

1 prefer that you have discussions about the meeting
2 materials in a public setting.

3 COMMITTEE MEMBER EASTMOND: Okay.

4 CHAIR LOOMIS: Okay. Okay. Let's reconvene at 1
5 o'clock. And lunch is served somewhere.

6 (Off record: 12:17 p.m.)

7 (Thereupon a lunch break was taken.)

8 (On record: 1:03 p.m.)

9 CHAIR LOOMIS: Can the committee reconvene,
10 please.

11 Very good. I hope everyone had a satisfying
12 lunch. Good break. The next item on the agenda is public
13 comment opportunity. So the public may comment on any
14 aspect of the presentations that we've heard this morning.

15 I think the instructions for public comment are
16 about to be shown on the screen.

17 (Thereupon a slide presentation).

18 CHAIR LOOMIS: There they are. So as a reminder,
19 individuals who are in person and want to make an oral
20 comment have been asked to fill out a blue comment card
21 located in the back of the room. We'll call those present
22 in person to provide their comments. We ask you to
23 approach the microphone and state your name and
24 affiliation before making your comment.

25 Anyone joining by Zoom who wants to make an oral

1 comment can do that by raising their hand in Zoom.
2 There's an icon for that for those not familiar with it.
3 When you raise your hand, your name will be called and
4 you'll be prompted to unmute yourself. Please do that.
5 State your name, and affiliation, and provide your
6 comment. Public comments, whether in person or via Zoom
7 will be limited to 5 minutes.

8 So at this point, are there any comment cards? I
9 haven't been given any yet.

10 Apparently, no. So very good.

11 There seem to be no comments in the room. Are
12 there any participants on Zoom who wish to make a comment?

13 Okay. We see none. So it appears there are no
14 public comments on this morning's items.

15 And so then we'll move on to discussion of the
16 presentations with the Committee and speakers. And I see
17 we have all the speakers here and most of the Committee.
18 So this is an opportunity for the Committee and speakers
19 to interact and discuss the material that has been
20 presented this morning.

21 So I first invite members of the Committee to ask
22 questions or comment on what we've heard?

23 Anything?

24 Well, I have a question or a comment. I'm not
25 sure which it is. But the material we were offered this

1 morning was particularly interesting and, you know, one of
2 the questions that comes up for me in looking over this
3 information is that there is kind of a paradox about the
4 relative risk measure of association, which is that
5 relative risks tend to be higher in association with kind
6 of the rare characteristics, not so high an association
7 with more common characteristics. We could see that on
8 Dr. Ginsberg's slides, for example.

9 And so, you know, as a clinician, I might be
10 worried about high relative risk, but as a public health
11 official, I might be more worried about the common
12 characteristics, even if they have lower relative risks.
13 However, there's another twist here, which is that those
14 high risk individuals may be individuals that for purposes
15 which -- with which this Committee is concerned, we would
16 want to create a more protective standard. So I would be
17 interested in the speaker's thoughts on that dilemma that
18 we face about how to use the type of information they've
19 all presented.

20 DR. GINSBERG: I think I'm on. So I'll take a
21 first crack at that. That was my last slide, which I
22 presented a little bit of a framework for thinking about
23 it. And, you know, for example, if you are at a
24 hundred-fold, if we can calculate a scenario through
25 multiple polymorphisms where someone, if they had the bad

1 deck of cards so to speak, and three or four
2 susceptibility genes in the same person, exists at least
3 at 0.1 percent of the population in there. And you can
4 calculate that that's a hundred-fold higher risk, that
5 that might be a scenario where we'd want to consider at
6 least separately evaluating, rather than trying to blend
7 that tail of the curve of the susceptibility curve into
8 some overall population approach.

9 So the question, it's really a policy call, just
10 like one in a million de minimis risk was a policy call
11 back in the 1950s. You know, what is the size of the
12 population, and the excessive risk, and the certainty that
13 we have around that, that presents enough of a
14 subpopulation concern to treat them separately.

15 CHAIR LOOMIS: Thanks. Very good.

16 Other questions, comments from the Committee?

17 COMMITTEE MEMBER EASTMOND: Sorry, I came in
18 late. I'm not -- are you just having general follow-up
19 questions to the entire group?

20 CHAIR LOOMIS: Yes. This is an opportunity for
21 the Committee and the speakers to interact about the
22 subject matter.

23 COMMITTEE MEMBER EASTMOND: Well, this is a
24 related question. So you have variations in enzyme levels
25 that are caused by genetic polymorphisms. And like Fred

1 and others mentioned, you also have enzyme inductions so
2 you can get dramatic differences in enzyme levels caused
3 by factors which would be environmental factors.

4 And I don't -- I mean, I think the question as
5 OEHHA thinks about this is how do you integrate these
6 together, because not only do you have the genetic
7 polymorphisms, but you have phenotypic variants. And this
8 is -- and sometimes it may be predictable, such talked
9 about alcohol consumption or alcoholics, but in other
10 cases is not very predictable. And that enzyme induction
11 may be affecting a specific subpopulation as well.

12 So I find this to be challenging. I appreciate
13 Gary's thoughts on how you might fold this in, but I see
14 that as another subpopulation I might worry about or those
15 who were prone to enzyme induction.

16 DR. GUENGERICH: Yeah. Maybe I could -- I don't
17 know if I can bring any clarity. Maybe probably some more
18 confusion to this. But again, Dan Nebert again has
19 written a lot about this. And he's written a number of
20 articles over the years about the influence of enzyme
21 induction. Now, maybe even from my talk, you got the idea
22 that inducing AHH and the AH receptor was bad, but Dan has
23 basically written a lot about this. And in many cases,
24 it's actually good and it's actually very protective from
25 injury. So it's not like there's one phenomenon that it's

1 always good and -- or always bad. And in fact, it goes
2 back to a classic, something called the Richardson
3 Experiment in 1952. When giving small amounts of one
4 carcinogen would actually protect rats from another
5 carcinogen. And now, we understand that was due to enzyme
6 induction, so it's complicated.

7 And some of this stuff I covered in my own class
8 lectures, but some of it Dan Nebert has also written about
9 as well. And it can depend on where you're exposed. And
10 he talks about proximal and distal targets. So basically
11 there's a difference in terms of whether the environmental
12 chemical -- we'll just call it the environmental chemical
13 is going to hit a target that's -- where the enzymes are
14 or where -- you know, it depends where everything is in
15 the body too. So things can be protective or they can
16 actually lead to more destruction. So it gets -- it gets
17 very complicated in a hurry that is, I guess, my bottom
18 line.

19 And sometimes I wonder if we'll ever really
20 understand. And maybe artificial intelligence will
21 eventually solve everything. But I'm not sure we're quite
22 there yet. I do -- you know, I mean, I'm in -- I guess,
23 we throw around a lot of these extra factors, I mean, you
24 know, in terms of risk assessment. You know, a 10 for --
25 a 10 for -- or maybe more for comparing animals to people.

1 And then another factor for comparing people, but most of
2 the time we don't really know if these are real, or we're
3 just sort of trying to be more protective, or if it's
4 really doing anything or not.

5 DR. GINSBERG: Fred, that applies to
6 non-carcinogenic risk assessment. But for carcinogens,
7 there is none of these uncertainty factors. It's just
8 based upon human equivalent dose from animal evidence, but
9 applying the animal based -- unless it's an occupational
10 study. So for cancer, we don't use an uncertainty factor,
11 which is part of the point of maybe thinking about
12 polymorphisms as -- and we did in Science and Decisions in
13 2009, there was some proposals along these lines to bring
14 some of this variability and to more overtly bring
15 variability into human cancer risk assessment.

16 DR. GUENGERICH: Yeah, I guess I would be
17 concerned though. Again, I've -- you know, there are a
18 number of qualifications about animal models. There are
19 types of cancer we see that are specific to rodents. I
20 think that's generally agreed on. And we don't really --
21 we don't have -- I don't think we have a great database on
22 that in terms of the extrapolation frankly speaking.

23 DR. VASILIOU: Actually, well, a couple of things
24 and I want to go back with what Fred says. If it's a
25 simple compound. You mentioned alcohol. Yes, the

1 alcohol -- and you don't have to be alcoholic. You just
2 have to drink alcohol every day and you have increased
3 levels of cytochrome P450 2E1, that already makes you more
4 prone to certain other exposures. Okay. And it doesn't
5 have to be alcohol. It could be over-the-counter
6 medications. It could be starvation. Okay.

7 The other thing I want to tell you is -- and this
8 was something that mentioned again by Fred, the
9 acetaminophen in this particular case why this is
10 important. Actually, there is a syndrome, which has been
11 established. It's called acetaminophen ethanol syndrome.
12 So if you have the two you're on already, you're guarantee
13 you're going to have, you know, toxicity or some other
14 consequences.

15 The point is when you go to simple models and
16 simple questions, you can get that. Now to make life
17 complicated, it's not only alcohol, it's not only
18 over-the-counter, we'll discuss tomorrow we have the case
19 1,4-dioxane. We found first time 1,4-dioxane induced
20 Cytochrome P450 2E1. So you can have -- you don't need to
21 drink alcohol. You don't need to take over-the-counter,
22 you just drink your water and if your well is contaminated
23 by 1,4-dioxane, it doesn't have to be high levels. It
24 will induce in the long term your 2E1. So that's one
25 thing.

1 The other thing is the second point I want to
2 make is we are exposed to low levels of chemical mixtures
3 and how you can get really the effects from that, Fred
4 gave you a hint. We need to develop the algorithms. We
5 need to develop the artificial intelligence that we can
6 take into account, not only the multiple exposures, but
7 also genetic background. We just published a paper on
8 1,400 phytochemicals present in the olive oil and how this
9 can interact with Alzheimer's pathway on protein DNA
10 interactions and how -- because there is substantial
11 evidence that olive oil, for example, it could prevent or
12 it can work against Alzheimer's disease.

13 In that study, that algorithm worked very well.
14 So we ended up with 10 chemicals, which actually there is
15 some substantial evidence. And also, Fred said algorithms
16 are good. They're going to generate models. We need to
17 validate. So it's a long way to get straight answers from
18 that, unless you have classic examples, such as the 2E1
19 or, you know, benzo(a)pyrene.

20 And another phenomenon that I would like to bring
21 to your attention, Fred also mentioned that a little bit,
22 is what we called hormesis. Hormesis is when you're
23 exposed to certain oxidants or certain conditions your
24 genes are upregulated and they can make you more strong.
25 We have one case that we have mice with low levels of

1 glutathione. And I thought we were going to develop the
2 best ever model for liver toxicity. We subjected these
3 mice to alcohol and these mice have better health even
4 when you fill them with alcohol. There is no effect.

5 Why? Because the endogenous oxidative stress
6 generated by low levels of glutathione induces this
7 hormetic response, which in turn turns on the regulator of
8 your metabolism, which is AMPK first regulates that, and
9 makes these mice almost -- you know, they're resistant to
10 ozone toxicity, they're resistant to certain conditions.

11 So another thing that life can be complicated is
12 by exposure. In some of these levels -- you know, you
13 get -- you don't have to have toxicity. So this
14 particular model, we don't eliminate completely
15 glutathione. We eliminate it to the point that we'll
16 elicit an hormetic response. If we wipe out completely
17 glutathione, either there is no life or in every tissue
18 we're doing, we have different effects.

19 CHAIR LOOMIS: Very good. Other question?

20 Down here.

21 COMMITTEE MEMBER WANG: So I guess I'm hearing
22 the proverbial more data are necessary. And I'm curious.
23 I guess I would like to hear from each of the three
24 presenters is -- what would they consider -- is there
25 anything that you've presented that you believe is

1 actionable today?

2 DR. GINSBERG: Yeah. From a risk assessment
3 perspective, I'll -- I know this is being recorded, but I
4 want to be careful in not raising expectations too far.
5 But where we have highly influential polymorphisms like a
6 null polymorphism in a well-defined critical
7 detoxification pathway that you can follow around in
8 populations and you have the epidemiological evidence that
9 it does translate to risk, not just, you know, in a
10 cell -- in vitro cell culture system where you can, you
11 know, isolate this polymorphism and show more DNA adducts
12 or something. But when you actually see it in
13 populations, that must mean that it's fairly influential,
14 fairly penetrant and that's worth thinking about from a
15 risk assessment perspective.

16 So, you know, we talked about a couple of those
17 today, aldehyde dehydrogenase 2 when your polymorphic, in
18 that you have no function and the result of that is, you
19 know, some of the increases Dr. Vasiliou talked about. I
20 mean, that's something that might be actionable.

21 Glutathione transferases when you have multiple
22 ones that are knocked out, you know, you're leaving the
23 population more at risk for oxidant stress and things that
24 glutathione normally will help take care of. NQ01
25 knockout and bone marrow toxicity. So, you know, I think

1 there's a couple of examples and I think if -- from a
2 regulatory perspective, if people focused on the
3 low-hanging fruit and what are some of the clearest ones
4 to try to create policy around, that would be a starting
5 point and then work from there.

6 CHAIR LOOMIS: Other questions, comments from the
7 Committee?

8 DR. GINSBERG: I think that was a question for
9 all three of us.

10 CHAIR LOOMIS: Oh, right. Yes. Sorry, yeah, it
11 was a question for all three. I apologize. Go ahead.

12 DR. GUENGERICH: Well, I'll take the next stab.
13 I actually -- I think I agree with your point about the
14 nulls. I think the problem we have is that as indicated
15 this morning, there are so many variants out there in the
16 population that in terms of characterizing exactly what
17 the effects of each of those are is going to take a long,
18 long time and you pretty much will have to do that in
19 vitro at least at first.

20 The nulls, now they're gone. I was going to say
21 the other problem with the variants if they're in the
22 coding region, they actually may have different effects
23 depending on what the substrate for the enzyme is. This
24 is well known in drug metabolism for instance. So the
25 nulls, there you actually do lose the gene and it -- in a

1 way, it's easier.

2 Having said that, people, you know, they've
3 kicked around the glutathione transferase polymorphisms
4 for a while. And those are real deletions. And we've got
5 some results, but a lot of things still aren't clear too.
6 So I think that's probably one useful thing to do.

7 I was going to say the other thing Dr. Vasilis
8 brought up is this matter of hormesis. I didn't talk
9 about that in my talk. I talk about it in actually the
10 first lecture in my toxicology course. And that -- again,
11 the concept that a little bit of something -- a little bit
12 of damage is good for you, and there are actually very
13 good biochemical reasons for this now in terms of the Nrf2
14 system and things like that.

15 So the problem is that I'm sure drives regulators
16 crazy, that a little bit of something is actually -- you
17 know, is good for you and, you know more of its bad,
18 because how do you actually regulate things when you're
19 down at a very low level, because they may be protecting
20 you from other things. Another example is
21 metallothionein. Basically, a little bit of toxic metal
22 is good for you because it induces metallothionein and
23 which will protect you from a big overload.

24 So we have a bunch of problems. And like I say,
25 the nulls is not a bad way to consider things. I think

1 though ultimately -- I don't think -- I guess I would make
2 the point and maybe I tried to do that this morning, that
3 I think we're going to be on shaky grounds if we go with
4 only epidemiology and don't have mechanisms to go along
5 with it, and some kind of viable mechanism, and some kind
6 of system. And I'll turn it over.

7 DR. VASILIOU: And this is what I call
8 translational epidemiology. So you have your
9 epidemiological study. And then you have the model,
10 either it could be an animal model or it could be a tissue
11 on a chip, or a tissue, a 3D culture, that your
12 epidemiology study shows an association. Then you go and
13 prove that this is the mechanism. I think that's where we
14 need to develop healthy regulations see if the
15 epidemiological studies are really supported by
16 mechanistic studies to prove what -- otherwise, it's just
17 an association, confounders could be millions, genes could
18 be many.

19 Another thing that although it does sound like a
20 science fiction that I think the deep learning and those
21 algorithms will be very soon helping us in determining
22 these kind of factors without decreasing the research --
23 the basic research we're doing, but combining all the
24 information, and especially another thing now is that we
25 can really substantiate the role of the epidemiology. You

1 have a lot of clinical records, medical records that are
2 available now that you can combine all those in your
3 epidemiological study. So as long as you have a mechanism
4 to support that, that's what essentially what it is.

5 Thank you.

6 CHAIR LOOMIS: Thank you. I think Dr. Bush had a
7 comment or question.

8 COMMITTEE MEMBER BUSH: Not specific, but I'll
9 ask since I've got the microphone now.

10 And I guess this goes more to Dr. Vasiliou and
11 Dr. Guengerich, where are we in getting a catalog of these
12 polymorphisms of these -- you know, the phase 1, phase 2
13 enzymes, but at a cell type specific level, because that
14 could be instructive for us. For example, if there is a
15 known chemical that happens to be, you know, prevalent or
16 causing something related to a squamous cell or some other
17 kind of epithelial related cancer, I mean, do we have that
18 information yet? So I implore you, if we don't, can we
19 get that?

20 DR. GUENGERICH: Yeah. Actually, you know, it's
21 not perfect, but there's some called the protein atlas,
22 which is online and it's pretty good. It's not perfect,
23 but basically that will tell you every tissue and cell,
24 you know, what the levels of the RNA for a particular gene
25 are, and the protein -- not always the protein, of them.

1 But yeah, that's not bad.

2 Now, it won't tell you anything about the
3 variants and the polymorphisms though. But we know where
4 things are expressed by and large. The -- I mean, it's
5 not perfect, because if you look at that, sometimes
6 there's something we call the -- sometimes the grass, that
7 is there will be minor levels in all kinds of tissues, but
8 it's not bad to a first approximation. One thing I would
9 like to comment on though, I guess one of the problems --
10 and I think I mentioned it first about artificial
11 intelligence. One of the problems is, potentially these
12 AI machines are very good at gathering stuff that's out
13 there. But if they gather up the junk, you will get junk
14 out of them. And so I don't -- and I'm not a computer
15 scientist, so I don't know how to solve that problem. So
16 we have to be a little -- I think we still have to be a
17 little careful. But, yeah, we do know quite a bit about
18 where these things are expressed.

19 DR. VASILIOU: And I'll correct a little bit Fred
20 about that. Yes, the AI gets a lot. It gets everything
21 that can read. You know, it's a computer. However,
22 that's where the human factor is that we're going to
23 curate, you know, the particular studies and -- is what we
24 call train the algorithm. Okay. And train the algorithm
25 is providing the substantial base. And, of course, there

1 are some junk studies. That's why I'm saying everything
2 that can be found by artificial intelligence they still
3 have to be -- you know, a human factor have to be there.
4 Look, artificial intelligence right now, it helps to do --
5 diagnosis -- clinical diagnosis. You can do histology
6 by -- you can do face recognition for alcohol-induced
7 fetal syndrome. You know, you can get that and you can
8 tell if it is or not.

9 The point is still you need a doctor when you
10 have the prog -- when you have the diagnosis when the
11 artificial intelligence does, just in case that something
12 happens. So we're not there yet that the computers will
13 completely substitute us, but we need to work together.
14 We need to take advance of that.

15 For example, what you mention is very important.
16 I want to make life a little bit more complicated though,
17 because you can have this protein gene express -- the --
18 I'm sorry, the gene expression tissue cell, which a
19 beautiful database. You know, you can get it -- now, the
20 polymorphism occurs in your DNA, so it will be everywhere.

21 The point is if the metabolism occurs, mostly in
22 the liver and then as the metabolites would say are saving
23 the tissue, that's another case. So there are quite a few
24 things. And believe me, you can put all those factors
25 into the artificial intelligence. I'm a big believer in

1 artificial intelligence with all the carefulness that we
2 need to pay on that, as Dr. Guengerich said.

3 DR. GINSBERG: And I'll just add one more
4 complicating factor which has been mentioned today, which
5 is the methylation patterns and how genes are regulated
6 outside of the things that we've been talking about so
7 far. So that -- on top of all the genetic -- genotype
8 changes, genotype effect on phenotype is one thing, but
9 effects on phenotype that have to do with how other gene
10 regulatory mechanisms will also affect, especially the
11 upstream polymorphisms that are affecting promoter
12 regions, because you have so many things affecting how
13 much expression there's going to be in a certain gene.
14 But where you have a null -- again, I'll speak up for the
15 null polymorphisms, it doesn't matter, you know, what's
16 going on in the regulatory sequence. If it's just a
17 defective version of the gene that's inheritable, that
18 would be more likely to be penetrant.

19 CHAIR LOOMIS: Okay. Thank you. I think we've
20 taken more time than we had scheduled for this item, but
21 perhaps we'll just quickly see if there are any burning
22 questions or comments from the Committee before we move
23 on.

24 COMMITTEE MEMBER EASTMOND: I have one, unless
25 you're really short on time.

1 CHAIR LOOMIS: Really short?

2 COMMITTEE MEMBER EASTMOND: Yes.

3 CHAIR LOOMIS: Well, you can go ahead, if it's --
4 if it's quick.

5 COMMITTEE MEMBER EASTMOND: I'll just say that
6 for me one of the most fascinating stories on the aldehyde
7 dehydrogenase 2 story is that the homozygotes sort of wild
8 type and the homozygotes who are the *2 have inefficient
9 enzyme activity, they're basically at very low risk of
10 esophageal cancer. It's the heterozygotes. And so
11 because they -- homozygotes that are recessive basically,
12 they can't tolerate alcohol, so they don't drink it. So
13 they're at low risk of cancer. It's the heterozygotes who
14 have less efficient aldehyde dehydrogenase 2 activity.
15 They consume more alcohol, and they're at higher risk, and
16 so they're the ones that show up with the cancer.

17 So if you look at this from a sort of purely
18 biochemical point of view, you might miss that because you
19 have to superimpose the behavioral aspects of what
20 happened in addition to sort of mechanistic studies that
21 you think of the genetics. So for me, that's one of the
22 most fascinating aspects of that story. Sorry.

23 CHAIR LOOMIS: Good. Thanks.

24 At this point, we should move on. We do have a
25 break scheduled after this item, but I'm going to propose

1 that since we just came back from lunch, we'll postpone
2 that for a bit and see if we need it later.

3 And so that allows us to move on to the second
4 agenda item, which concerns committee input on staff
5 proposal to streamline several sections of the cancer
6 hazard identification documents. And I believe that Dr.
7 Sun is going to present that.

8 (Thereupon a slide presentation).

9 DR. SUN: Hello. Good afternoon. I will give a
10 brief overview of OEHHA's proposal to streamline three
11 sections of our cancer hazard identification documents or
12 HIDs. The HID is provided to the CIC for their
13 deliberation in determining whether a chemical should be
14 identified as a carcinogen under Proposition 65. Other
15 materials also provided for the Committee's deliberation
16 include all the references and the public comments
17 received. The focus of today's proposal is on the HID.

18 [SLIDE CHANGE]

19 DR. SUN: Here is an outline of my presentation
20 today. I'll first provide an overview of the goals of the
21 proposal and today's discussion with the Committee and
22 then talk about specific changes we're proposing to the
23 following sections of the HIDs: the introduction,
24 carcinogenicity studies in humans, and carcinogenicity
25 studies in animals.

1 [SLIDE CHANGE]

2 DR. SUN: The goal of this proposal is to
3 streamline three sections of the HID by focusing on the
4 most informative studies and limiting the scope of
5 discussion for the less informative data. And the goal
6 for today's discussion is to request the CIC's input on
7 the proposal.

8 [SLIDE CHANGE]

9 DR. SUN: I'll give a brief introduction to the
10 structure of the proposal.

11 For the introduction section of the HID, which is
12 relatively less complex than the other sections, the
13 proposal presents the changes and examples of how these
14 changes would be implemented.

15 The carcinogenicity studies in humans and animal
16 sections are the key elements of the HID, and the proposal
17 for each of these two sections include discussion of
18 general considerations on study informativeness, the
19 proposed changes, the proposed organization, and examples
20 of how these proposed changes would be implemented, using
21 text from the 2022 bisphenol A or BPA HID. While these
22 examples are helpful to show how certain sections would
23 look like with the changes, they reflect the specific
24 database available for BPA.

25 What constitutes most informative may vary by

1 chemical, and we will need to retain some flexibility to
2 adapt to each specific assessment in the future. I also
3 want to note that the BPA HID is only being used as an
4 example, and we are not proposing any actual changes to
5 that HID.

6 [SLIDE CHANGE]

7 DR. SUN: The introduction section of the HID
8 includes chemical identity and properties,
9 exposure-related information, and reviews by other health
10 agencies. As the HID focuses on identifying hazard, we
11 propose to shorten the description of exposure-related
12 information by providing a more concise summary of
13 production, sources and uses, and occurrence and exposure.
14 We are not proposing any changes in the chemical identity
15 and reviews by other health agencies sections.

16 The section on production, sources and uses would
17 be limited to 1 to 2 paragraphs. It would briefly
18 summarize information on the production of the chemical,
19 such as volume of production. It would broadly indicate
20 sources of exposure, and common uses of the chemical that
21 may lead to potential human exposure, for example uses in
22 consumer products.

23 For occurrence and exposure, this section would
24 be limited to 1 to 2 paragraphs. It would briefly
25 summarize the occurrence of the chemical in different

1 environmental media, for example air or water, and human
2 biomonitoring findings, for example in blood or urine
3 samples with a focus on California. Magnitude or temporal
4 trend of exposure may be briefly discussed.

5 [SLIDE CHANGE]

6 DR. SUN: Here are the proposed changes for the
7 carcinogenicity studies in humans section. This part of
8 the HID begins with a section on key issues in the
9 consideration of available studies before going into the
10 presentation of studies by cancer site or type. As was
11 done for the recent HIDs on PFOS and BPA, the key issues
12 section highlights topics relevant to the available
13 database, such as exposure assessment limitations, study
14 design limitations, confounding and other biases.

15 Therefore, readers will be familiar with these
16 issues when they read summaries of specific studies. You
17 can see that proposed changes are based on how informative
18 the studies are. I will discuss details on such
19 considerations on the next slide. For the most
20 informative studies, there will be no change. They will
21 continue to be summarized in the text and in tables.

22 For less informative studies, issues contributing
23 to that determination will be discussed and the studies
24 briefly summarized.

25 Studies of very limited informativeness will be

1 mentioned in the text with issues contributing to that
2 determination and included as a bibliography list provided
3 in an appendix. For those individual cancer sites or
4 types where data are very limited, the available studies
5 will be mentioned in the text and provided in the
6 bibliography.

7 [SLIDE CHANGE]

8 DR. SUN: I'd like to first note that the factors
9 that define an informative epidemiologic study may differ
10 by the chemical and exposure-outcome pair. The factors
11 listed on this slide are for general considerations. And
12 secondly, for each chemical evaluated, we will be faced
13 with a different database of available studies and
14 different key issues.

15 Regarding study design, generally, among
16 observational epidemiologic studies, a greater focus is
17 given to cohort and case-control studies. Studies of
18 cross-sectional design are often less informative for
19 hazard identification, as they measure exposure and
20 outcome at the same time. Similarly, descriptive studies
21 are often less informative, but there are examples where
22 ecologic studies and case-series, respectively, have
23 provided crucial evidence, as in the cancer
24 classifications of arsenic and aristolochic acid by IARC.

25 Besides study design, there are factors specific

1 to each study that can also affect the sensitivity and
2 ability to detect a true association between the exposure
3 and the outcome. On this slide is shown a non-exhaustive
4 list of potential biases to be considered in such
5 evaluations. Other factors that can impact study
6 informativeness include sample size, whether there is
7 adequate exposure contrast, and whether there is
8 sufficient follow-up to detect the presence of cancer.

9 An example of a study we would treat as
10 non-informative would be a study of a chemical with a very
11 short half life, on the scale of hours, in a population
12 with infrequent exposures, where study participant
13 exposures were categorized based on a single spot urine
14 sample.

15 Another example of a study we would treat as
16 non-informative would be a cross-sectional study of
17 exposure to a chemical with a short half-life, in a
18 population expected to have variable patterns of exposure
19 over time, and for a cancer outcome generally associated
20 with a long time to develop.

21 For these examples, these studies would be
22 included in a list in appendix, but would not be discussed
23 at any length in the HID.

24 [SLIDE CHANGE]

25 DR. SUN: This slide shows the proposed changes

1 to the carcinogenicity studies in animals section.
2 Similar to the epidemiologic studies section, the most
3 informative animal studies will continue to be discussed
4 as they are now in the text and in tables. I will go over
5 the considerations of informativeness of these studies on
6 the next slide. The less informative studies will be
7 briefly summarized without detailed description and
8 without tables. The least informative studies with study
9 designs and other features that result in considerable
10 uncertainty in attributing the tumorigenic outcome to a
11 specific chemical exposure will be mentioned and listed in
12 the bibliography.

13 [SLIDE CHANGE]

14 DR. SUN: Similar to the considerations for
15 informativeness for epidemiologic studies, the
16 informativeness of animal studies is also determined by
17 study design and other factors.

18 Regarding different study designs, long-term
19 carcinogenicity studies, also known as animal cancer
20 bioassays, involving chronic exposure for most of the
21 lifespan of an animal are generally accepted as
22 scientifically valid testing methods for evaluation of
23 chemical carcinogenicity. We generally consider animal
24 cancer bioassays as the most informative. No changes are
25 being proposed to the way these studies will be presented.

1 DR. SUN: That's my last slide. Thank you.

2 We're happy to answer any clarifying questions
3 from the Committee.

4 CHAIR LOOMIS: So this opportunity is for
5 clarifying questions and then we'll move on to a more
6 general discussion, so questions about details on...

7 COMMITTEE MEMBER EASTMOND: This could fit in
8 both categories, but Meng, thanks. I've just been
9 thinking, I'm wondering who's the target audience for this
10 document? Is it the Committee, or is it the public in
11 general, or both?

12 DR. SUN: I would say it's the Committee. We
13 develop the document for you to use to evaluate the
14 carcinogenicity of the chemical.

15 COMMITTEE MEMBER EASTMOND: Okay.

16 CHAIR LOOMIS: Other clarifying -- yep.

17 COMMITTEE MEMBER LANDOLPH: I've been very happy
18 with the HIDs. They usually give me what I need to know
19 in a timely manner and with sufficient detail. So I don't
20 want you to lock yourself into a corner too much. Don't
21 make the rules so rigid that you can't change your mind
22 and change the standards a little bit maybe for a unique
23 chemical or something like that. So I don't think you
24 have to make phase changes, you know, real huge changes.
25 If you want to shorten them a little bit, that's fine with

1 me.

2 And conversely, if occasionally you feel you have
3 to add something to bring it to the attention of our
4 Committee, I think that's fine too. So I, in general,
5 agree with the thrust of this effort and I think your
6 group has always done a very good job. So don't feel like
7 you have to remake everything. Slight tweaks would be
8 fine.

9 CHAIR LOOMIS: Other questions of clarification
10 before we move to general discussion?

11 COMMITTEE MEMBER BESARATINIA: We'll come back
12 and discuss this?

13 CHAIR LOOMIS: Yeah, we'll discuss the substance
14 in a moment, but I think this is just to, you know, kind
15 of get the facts straight.

16 No more.

17 CHAIR LOOMIS: Yeah, Martha.

18 DR. SANDY: Yes. So if I could just comment that
19 one of the reasons we're bringing this proposal to you is
20 we're -- we do develop the document for your use, so we
21 want to get feedback from you. And the last document you
22 saw was quite large. There were many, many studies. And
23 we're looking to get some feedback. If you can say, oh,
24 yeah, some of those that were in the appendix maybe -- you
25 know, you keep doing the way -- what you did or maybe a

1 list, a bibliography list of those studies is enough.
2 We're just throwing some ideas out and looking for your
3 input.

4 CHAIR LOOMIS: Yeah. Thanks, Martha. Just
5 reiterate that I know the staff are looking for some
6 feedback on how to improve the HIDs, if that's something
7 that would be helpful. But, you know, if the Committee
8 likes them just the way they are, that's also okay.

9 I'll go ahead and make a quick comment, since as
10 we segue into the second part of the discussion here, I
11 like the proposed changes actually. Perhaps this is a bit
12 more work for the staff to try to segregate studies by
13 informativeness that will work upfront, but it creates a
14 more streamlined document that's easier to read. And I
15 think it's consistent with trends in a lot of other
16 agencies. When I was with IARC we moved in a similar
17 direction. And I think our reading public and users of
18 the monographs found that to be quite helpful. So I
19 support the move to streamline somewhat the HIDs.

20 And I'll invite comments from the rest of the
21 Committee.

22 COMMITTEE MEMBER EASTMOND: I support the idea to
23 streamline the documents as well. I'm hoping that in the
24 streamlined, things like the exposure assessment, there
25 will be enough references or information that someone

1 could chase down the actual information. But I trust
2 you'll do that. But I'm all in favor of making life
3 easier for us and for you, if we can do it.

4 COMMITTEE MEMBER STERN: Thank you. I definitely
5 like the idea of streamlining the document, because I
6 think it's something that we organically do when we are
7 reviewing the literature anyway, putting studies in piles.
8 So if that helps the work that you guys do in kind of
9 predigesting that, that would be helpful to us. The only
10 comment I have is for the human epidemiological studies.
11 I think it's not as straightforward perhaps as the animal
12 studies where you can put a priori some guidelines of
13 these are studies that we think are informative and these
14 are not, so it's easier to put them in different piles.

15 I think with the human literature is very -- as
16 it was mentioned, it's very dependent on what has been
17 done, what's available. You know, we all know what the
18 idea of a study might look like, but nobody may have done
19 that study yet. So sometimes we are stuck with what's
20 available and then we have to kind of streamline from
21 that. So I think it's going to be hard to put criteria, a
22 priori of what is going to be, you know, there's obvious
23 studies, like studies that show -- that report no
24 associations for an exposure obviously are not
25 informative, so we shouldn't even have to look at those.

1 But with these studies, for example, with the BPA
2 example, the two studies that use a cohort, within the
3 universe of available studies, those studies that are from
4 a cohort where the exposure was mentioned before the
5 outcome developed, you know, that's as good as it gets.
6 True, it was one measurement. That's not informative, but
7 there are no other studies that have done anything
8 different. So I think if we went with this approach,
9 where we consider them non-informative, we wouldn't be
10 able to say anything about what the evidence is telling
11 us. I think -- so I think my proposal moving forward
12 would be perhaps before the documents are done, we could
13 have a conversation based on that particular agent, and
14 based on what we know the evidence looks like and kind of
15 decide organically this is what's available.

16 Within these studies, this is what we're going to
17 consider informative, and this is what is not going to be
18 considered informative, because I think it's going to
19 change with each agent that we evaluate and it's going to
20 be hard to put a hard criteria. I think we may end up --
21 we may end up in situations where we have nothing to
22 discuss if we put like a harsh criteria a priori.

23 And I think that's -- Dr. Loomis was saying
24 previously, I think organically other agencies have been
25 moving in that direction, but I feel like it's something

1 where the committee has to be involved in making those
2 decisions, because otherwise we may end up with nothing to
3 discuss on the table.

4 CHAIR LOOMIS: Perhaps I'll follow up on that
5 comment really quickly. I see there are others, but you
6 know one thing that you see in some other schematics for
7 evaluating human epidemiologic evidence is a sort of
8 hierarchy of studies. I don't see that here -- study
9 designs, that is. I don't see that here and I'm very
10 happy that it's not here, because I think that's kind of a
11 trap, right, that all observational studies get relegated
12 to some second or third level of evidence. So I think we
13 want to avoid that.

14 And now I see that there are others who wish to
15 comment.

16 Dr. McDonald, why don't you go first.

17 COMMITTEE MEMBER McDONALD: Yes. Thank you. I
18 also generally am quite favorable with the proposals to
19 streamline. With respect to the animal carcinogenicity
20 section, I always think it's a very good job you guys do.
21 It's a good level of detail. Focus on the strengths and
22 focus on limitations as well. I think we've said in other
23 meetings, we really like the format of the data tables as
24 they are that I've -- they're very easy to look at and get
25 right to the point.

1 I'm favorable with de-emphasizing those other
2 studies, like tumor promotion in non-mammalian species,
3 co-cancer. But I really want you to retain that
4 professional judgment and flexibility. If you say -- see
5 a knockout mouse study, for example, that really helps
6 tell a story mechanistically, bring it forward and don't
7 just keep it in a line item in a table. So, you know,
8 just use your flexibility and your judgment.

9 COMMITTEE MEMBER WANG: Yeah, I just wanted to
10 second what Dr. Stern commented on. I think in looking at
11 the example that you provided on prostate cancer, I think
12 there can be a middle ground, right? I think there's some
13 Simplifying that can be done, perhaps with the text, the
14 paragraph beforehand. But me personally, the tables, you
15 know, is very informative and I think that my
16 interpretation of the studies would have been very
17 different with that table versus the condensed version. I
18 think with the condensed version as Dr. Stern said, I
19 think there wouldn't be much to discuss at all. So I
20 would second the simplifying, but maybe striking a
21 compromise.

22 CHAIR LOOMIS: Thanks. Other input. Dr. Bush.

23 COMMITTEE MEMBER STERN: Can I do a quick
24 follow-up?

25 CHAIR LOOMIS: Yeah.

1 COMMITTEE MEMBER STERN: Yeah, so -- and the
2 other point that I forgot to mention before going back to
3 the issue of the tables is that sometimes, you know, for
4 example here you have a cohort study with a one-time
5 measurement, but it's pre-diagnosis, so that, you know, as
6 epidemiologists that makes us feel good. But as one-time
7 measure, we acknowledge, you may not recapitulate, so
8 therefore we're locked in a situation where we have
9 misclassification which is likely biasing results towards
10 the null.

11 So if we have multiple studies and they're all
12 showing some association, right, all moving in the right
13 direction, each individual study may not have been super
14 informative, but altogether they're telling a story of
15 what may be going on, which we would miss, as she was
16 saying, if we put it all in a paragraph.

17 And probably if I had to review that, I would be
18 pulling those papers anyway and looking at them carefully,
19 so they may as well be in the table. So that's why I
20 think we need like a fine line between pushing all the
21 studies into non-informative, which in epidemiology that
22 would be a big majority of studies, or -- unfortunately,
23 or finding out a medium ground that we all feel
24 comfortable as a Committee, right, that we can live with.

25 CHAIR LOOMIS: Further comments.

1 Yeah.

2 COMMITTEE MEMBER BUSH: So not that I'm -- I'm
3 very much in favor of streamlining the HID. Wondering if
4 there's opportunity here to kind of -- this touches on
5 some of the other conversation using, you know, generative
6 AI, or some way of creating a metric or an index of
7 studies. And there's -- I think some of this is done with
8 the key characteristics, but at least binning studies this
9 way with a metric that still gets manually interrogated,
10 right, but using that as a way of creating some -- you
11 know, these are the tier 1 studies or tier 2 studies,
12 something like that, and then, you know, giving us all of
13 that information, but at least then we can use that to
14 maybe delve into the lower ranking studies or what
15 determines, right?

16 At some point, you're going to have to make a
17 decision of what is least informative. And, you know,
18 unconscious bias is going to enter into that as well. So
19 if there was a metric attributed to that, that -- you
20 know, I'm brainstorming and wondering if there's any
21 initiative or any opportunity there that you're aware of
22 to help categorize these studies.

23 DR. SANDY: And can you clarify, are you talking
24 about both animal and epidemiologic studies or just one of
25 those types?

1 COMMITTEE MEMBER BUSH: Both.

2 DR. SANDY: So with the animal studies, we've
3 tried to give you some sort of a ranking based on study
4 design with some flexibility built in there as well. And
5 I'm pleased to hear Dr. McDonald encourage us to use our
6 judgment to decide, you know, even if it's a knockout
7 mouse, if it's important, to talk about that in detail. I
8 think the trouble is or the difficulty is with
9 epidemiologic studies, there's so many variables that
10 depend on the chemical, and the types of exposure that may
11 occur, and the patterns of exposure, and then the study
12 design, and conduct -- you know, study conduct -- the way
13 it was conducted and reported that it's hard to come up
14 with a metric that will be a one-size-fit-all.

15 COMMITTEE MEMBER BUSH: May I just quickly?

16 CHAIR LOOMIS: Go ahead.

17 COMMITTEE MEMBER BUSH: So I completely agree.
18 And so I guess the question for me is I'm asking is there
19 any motivation or interest from OEHHA or another
20 authoritative body to look into the generative AI capacity
21 to give this kind of a metric. And if there is no answer,
22 I understand. It's probably a no.

23 DR. SANDY: We don't have that in our sights
24 right now.

25 COMMITTEE MEMBER BUSH: Right. Big pharma is

1 using this, right, in their initiatives and their
2 opportunities to look at streamlining. So that's why I
3 brought it up. Thank you.

4 CHAIR LOOMIS: All right. Other questions,
5 comments from this side of the room?

6 Dr. Crespi.

7 COMMITTEE MEMBER CRESPI: I definitely appreciate
8 the effort of trying to streamline the document to make it
9 easier to digest and to evaluate the literature. And I
10 guess I had one comment about in particular summarizing
11 the human studies, and that is that in the tables there
12 seemed to be an emphasis on what the limitations are of
13 the studies, whereas in the past, there was both strengths
14 and limitations. And I feel like perhaps, you know, when
15 a table is developed, it could maybe include, you know,
16 a -- you know, not just give us the negatives, but give us
17 the positives too.

18 Thank you.

19 CHAIR LOOMIS: Anymore on this side?

20 All right. I'll go back over here. Anything
21 else, Dr. McDonald?

22 COMMITTEE MEMBER McDONALD: Very minor point back
23 on the introduction. I know you give a summary of other
24 authoritative bodies that are listed as part of the law,
25 IARC, NTP, NIOSH, OSHA, and EPA, FDA. I would -- I always

1 want to hear what the European Commission also is saying.
2 I know that's an add, but I'm always looking for it
3 anyway.

4 CHAIR LOOMIS: Okay. Anything else on this side?
5 Good there.

6 Martha, do you feel like you got the kind of
7 input you wanted?

8 DR. SUN: Yeah, I think so. Points well taken.

9 COMMITTEE MEMBER STERN: Just a quick comment. I
10 want to say that I always appreciated -- and I remember
11 these from the last document. I can't remember if you did
12 that before too, that you already are separating studies
13 with some intention in terms of informative by study
14 design, because I remember seeing them grouped by, you
15 know, the studies that estimated before or after. So I
16 think there's already some of -- some of that intention
17 that you had with this revision was already there and I
18 appreciated that. I found that helpful.

19 DR. SUN: Yeah, we did that for PFOS.

20 COMMITTEE MEMBER STERN: Yes, I remember. Yeah,
21 I found that very helpful, yeah.

22 CHAIR LOOMIS: Anything else?

23 Yes.

24 DR. SANDY: I wonder if there's any possibility
25 of discussing how we might address Dr. Stern's suggestion

1 of having some early consultation on a particular set of
2 studies and data before the document is developed.

3 COMMITTEE MEMBER STERN: So one suggestion -- I
4 don't know if these might work with the flow of how you
5 put together these documents, but if you, as a team, came
6 up with a suggestion of sort of how to run studies. These
7 are the most informative, for example, studies that were
8 prospective, that adjusted for X, Y, and Z, that use this
9 type of measurement, and the studies that include one,
10 two, or three of those things, but not all, are, you know,
11 immediately informative and the studies that have none of
12 these are not informative. And maybe you can share that
13 with the Committee and we can kind of provide feedback on
14 that before you start compiling the documents, that could
15 be one way, because as it was mentioned, it's going to
16 very by agent, right?

17 Each compound is going to have different
18 confounders that we're going to be worried about, so we
19 might have different requirements of what kind of
20 confounders we want to see in the models in the estimates
21 depending on what we're evaluating.

22 CHAIR LOOMIS: So not to be discouraging, I think
23 that is an interesting idea that moved toward an IARC type
24 of process where the Committee is more involved with
25 developing a written product, but it strikes me as a real

1 departure from the way this process has worked until now.

2 I trust the staff to make that determination.
3 And having been on the staff side of it at IARC, I would
4 say, you know, actually it could be -- it could be more
5 stimulating and interesting for the staff to be involved
6 in making those decisions. And I think the Committee can
7 then review what's been done in the way that we have and
8 always have the opportunity to review individual studies
9 if we wish to do so.

10 That's just my opinion though. I'm not trying to
11 weigh in as Chair here.

12 Neela.

13 DR. GUHA: Hi. Thanks. I agree with all of the
14 comments that were made before about the difficulty, about
15 putting the epi studies into different bins, because we
16 all know that there's so many factors that contribute to
17 what an informative study may look like for a particular
18 evaluation. However, for evidence synthesis, I think
19 there's an important concept that a lot of agencies are
20 moving towards is the concept of triangulation, where you
21 can look across a set of studies, most informative and
22 least informative, to come up with a -- with a hazard
23 conclusion. So it's not really throwing away a set of
24 studies, but each set of studies may be informative for
25 forming a causal conclusion.

1 CHAIR LOOMIS: Thanks.

2 Other suggestions for dealing with this
3 particular notion?

4 COMMITTEE MEMBER EASTMOND: I see the challenge,
5 but because all deliberations are done in public as a
6 public meeting, in order to have an interim feedback loop,
7 you would have to schedule additional meetings to give you
8 feedback in a timely fashion or else your hazard
9 identification documents are going to have to span
10 multiple years, which I don't think works well into your
11 scheduling and planning. So I just don't know how this
12 can be done very easily without, you know, putting
13 additional burden on the staff and on the Committee. So
14 that's my thoughts.

15 CHAIR LOOMIS: Any other suggestions?

16 No.

17 All right, Lauren.

18 DIRECTOR ZEISE: So around -- along the lines of
19 additional feedback. I think we've heard a really good
20 discussion on this. And so we will discuss it further
21 among ourselves and may come up with some idea about how
22 we might get some feedback, but really appreciate all the
23 discussion on this issue.

24 CHAIR LOOMIS: Okay. Very good.

25 I think we'll close discussion on this item then

1 and move on to the last item, which is staff updates. I
2 know that I skipped over the break. If anybody would like
3 to have a break, please let us know and we can consider
4 that, but we're close to the end and -- so we can choose
5 to either finish early or break for a few minutes.

6 Committee members are saying let's go, so let's
7 go. Staff updates.

8 (Thereupon a slide presentation).

9 KIANA VAGHEFI: Hello. Thank you, Dr. Loomis.
10 I'll be providing you with an update on important
11 Proposition 65 developments since the last CIC meeting.
12 I'll start by going over the chemicals or endpoints added
13 to the Proposition 65 list or under consideration for
14 potential listing, as well as chemicals considered but not
15 listed. Then I'll review proposed safe harbor levels.
16 After that, I'll turn it over to our counsel Kristi
17 Morioka to provide a brief update on other regulatory
18 actions.

19 [SLIDE CHANGE]

20 KIANA VAGHEFI: Since the Committee's last
21 meeting, seven chemicals have been added to the
22 Proposition 65 list, anthracene, 2-bromopropane, dimethyl
23 hydrogen phosphite, coal-tar pitch, fluoro-edenite fibrous
24 amphibole, and silicon carbide whiskers were add as
25 carcinogens, and bisphenol S was added as a reproductive

1 toxicant for the female reproductive endpoint.

2 [SLIDE CHANGE]

3 KIANA VAGHEFI: BPS remains under consideration
4 for listing as causing developmental and male reproductive
5 toxicity. Information from the BPS data call-in will be
6 used in preparation of a hazard identification document
7 for a future DARTIC meeting on these endpoints.

8 Additionally, OEHHA issued a data call-in on
9 vinyl acetate to solicit information related to its
10 carcinogenicity. This information is being used in the
11 preparation of a hazard identification document for future
12 consideration by the Carcinogen Identification Committee.

13 [SLIDE CHANGE]

14 KIANA VAGHEFI: Since the Committee's last
15 meeting, a no cancer significant risk level was adopted
16 for inhalation exposures to antimony trioxide, which
17 became effective January 1, 2024. We proposed an update
18 to the no significant risk level for exposure to ethylene
19 oxide from 2 micrograms per day to 0.058 micrograms per
20 day for the inhalation route and 1.5 micrograms per day
21 for the oral route. We're still in the regulatory process
22 for this proposal.

23 And now, I will turn things over to Kristi.

24 [SLIDE CHANGE]

25 SENIOR ATTORNEY MORIOKA: I just have a brief

1 regulatory update. So on October 27th -- or October --
2 yeah, October 27th, 2023, OEHHA noticed a proposed
3 rulemaking that would amend and add new sections to the
4 Safe Harbor Warning regulations. This proposal would
5 provide information to consumers and disincentivize
6 unnecessary prophylactic warnings by amending existing
7 short form safe harbor warnings that currently say,
8 "Warning, Cancer", and the Proposition 65 website to
9 provide several different options for warnings that
10 include the name of a carcinogen or a reproductive
11 toxicant or both. So, for example, one warning may be,
12 "Warning, Can Expose you to Formaldehyde a Carcinogen,"
13 and then the Prop 65 website.

14 Our regulatory proposal includes a 2-year period
15 for businesses to gradually transition to the new
16 warnings. And the proposal also includes safe harbor
17 status for short-form warning content on food products,
18 clarifications to internet and catalog safe harbor warning
19 requirements, and new tailored warning options for
20 off-highway and motor vehicle parts and recreational
21 marine vessel parts.

22 We held a public hearing on this proposal and the
23 initial public comment period closed in January of 2024.
24 We are still in the midst of the regulatory process for
25 this proposal.

1 Do any of the members have any questions?

2 Yes.

3 COMMITTEE MEMBER EASTMOND: Could you briefly
4 review the warnings for food, this came up in
5 conversation. I was just curious what the current
6 warnings and what are the proposals?

7 SENIOR ATTORNEY MORIOKA: Well, sure. The
8 current -- the proposed short-form warnings for food
9 products mirror the proposal for short-form warnings for
10 the short-form proposal in general. And hang on a second,
11 because I need to pull those up really quick.

12 Let's see, there's two options for carcinogens,
13 two options for reproductive toxicity.

14 Hold on a second.

15 DIRECTOR ZEISE: Maybe I could just weigh in a
16 little bit here.

17 SENIOR ATTORNEY MORIOKA: Oh, sure.

18 DIRECTOR ZEISE: I think one of the key things is
19 that what we're proposing is that our short-form include
20 the name of the chemical. And so that's really key, so
21 people know what they're being exposed to.

22 SENIOR ATTORNEY MORIOKA: And then the food
23 warning.

24 DIRECTOR ZEISE: So we're making that
25 modification. So it would hold for both consumer products

1 and for food.

2 COMMITTEE MEMBER EASTMOND: So at the grocery
3 store, there would be a whole list of things, is that the
4 idea?

5 DIRECTOR ZEISE: So the current -- we'd -- we're
6 following the current regulation, which is you wouldn't
7 identify every single carcinogen. You'd identify at least
8 one carcinogen for which warning would be required. So
9 there are a lot of products where exposures are well below
10 the warning threshold and wouldn't require a warning.

11 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

12 SENIOR ATTORNEY MORIOKA: I will -- can I give
13 you all of the food warnings? Can I email all of the food
14 warnings to you afterwards, if that's okay?

15 COMMITTEE MEMBER EASTMOND: That would be great.

16 SENIOR ATTORNEY MORIOKA: Thank you.

17 Any other questions?

18 Thank you.

19 CHAIR LOOMIS: Thank you, Kristi. Thank you,
20 Kiana. That brings us to that last agenda item. And Dr.
21 Zeise has kindly offered to try to summarize the meeting
22 content since there were no Committee actions.

23 DIRECTOR ZEISE: Sorry. Yeah. So normally, I
24 would summarize the Committee actions. And this
25 wasn't in -- this wasn't a meeting that had Committee

1 actions. We had a lot of great input on the discussion on
2 human variability and sensitivity due to polymorphisms.
3 And really want to thank the speakers for their
4 presentations, really thought provoking.

5 I think we saw that -- examples of cases where --
6 some pretty influential polymorphisms. And in those
7 cases, some discussion around how we might take those more
8 into account. And I think we'll be thinking about how we
9 might take those into account as we write up hazard
10 identification documents as well. So really good
11 discussion there and we appreciate that.

12 Then turning to the streamlining of hazard
13 identification documents, I think we heard general support
14 for streamlining these documents, but with a lot of
15 caveats. For the introductory sections, I think we heard
16 general support, but there was one item that I wrote down
17 for further inclusion. It's in our notes and it's
18 escaping me right now.

19 COMMITTEE MEMBER McDONALD: Europe.

20 DIRECTOR ZEISE: Adding Europe. That's right,
21 adding the European Union findings to the discussion where
22 we talk about what other authoritative bodies have done.
23 And then for the human, a much more complex set of data
24 that makes streamlining -- we have support for
25 streamlining, but with a caution, because of the very

1 varied data sets. And the type of information that might
2 be available on a specific chemical might be very, very
3 different from other chemicals. So a lot of cautionary
4 notes about binning with respect to, for example, study
5 design. So I think we heard that loud and clear.

6 And then for the animal data again, I think not
7 as complex data sets. General support for the way we've
8 been tabulating data. But I think we did hear support for
9 not going into a lot of detail on some of the studies that
10 aren't really influential for the Committee's decision.

11 And then -- yeah, so I think that about does it.
12 And you heard the updates. And we can send to the full
13 Committee -- I think we are sending to the full Committee
14 our regulations as we develop them, but we'll make sure
15 that you do get our short-form regulations, so you have
16 that. So, okay with that, I just want to thank everyone
17 on the Committee for coming to this meeting, having the
18 discussion, providing us your input, taking the time to do
19 that. We hope to have a Committee meeting later in the
20 year to tackle with -- tackle a particular chemical
21 listing. And I think the discussion today has really
22 informed as we pull those materials together, so really
23 appreciate that.

24 And I want to thank staff for all the work in
25 putting this meeting together and including our

1 implementation staff and IT staff, scientific staff, and
2 legal staff. So it takes a village to do these meetings.
3 And then very special thanks to our speakers as well.
4 Really appreciate it. And with that, I'll turn it back
5 over to Dana -- Dr. Loomis.

6 CHAIR LOOMIS: Thank you. Well, I'll just second
7 all the thanks to the Committee for giving valuable time
8 to attend the meeting today, staff for preparing all the
9 materials that we've had in front of us for discussion and
10 consideration, and to the speakers for their very valuable
11 input to this process. And with that, I'm happy to
12 adjourn the meeting and allow those Committee members with
13 afternoon flights to get out of here.

14 (Laughter).

15 CHAIR LOOMIS: So thanks everybody. The meeting
16 is adjourned.

17 (Thereupon the Carcinogen Identification
18 Committee adjourned at 2:19 p.m.)

19
20
21
22
23
24
25

