

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

ZOOM PLATFORM
CalEPA HEADQUARTERS BUILDING
1001 I STREET
SIERRA HEARING ROOM
SACRAMENTO, CALIFORNIA

THURSDAY, DECEMBER 19, 2024

10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

J&K COURT REPORTING, LLC

APPEARANCES

COMMITTEE MEMBERS:

Dana Loomis, PhD, Chairperson

Ludmil Alexandrov, PhD

Ahmad Besaratinia, PhD, MPH (Remote)

Jason Bush, PhD

Catherine Crespi, PhD, MS

David A. Eastmond, PhD

Dean Felsher, MD, PhD (Remote)

Joseph Landolph, PhD

Thomas McDonald, PhD, MPH

Mariana Stern, PhD

Sophia Wang, PhD

STAFF:

Dave Edwards, PhD, Acting Director

Isabel Alvarado, PhD, Staff Toxicologist, Cancer
Toxicology and Epidemiology Section, Reproductive and
Cancer Hazard Assessment Branch

Vanessa Cheng, PhD, Associate Toxicologist, Cancer
Toxicology and Epidemiology Section, Reproductive and
Cancer Hazard Assessment Branch

Sarah Elmore, PhD, Staff Toxicologist, Cancer Toxicology
and Epidemiology Section, Reproductive and Cancer Hazard
Assessment Branch

Corey Friedman, Attorney IV, Office of Chief Counsel

APPEARANCES CONTINUED

STAFF:

Neela Guha, PhD, MPH, Research Scientist III, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Kannan Krishnan, PhD, Assistant Deputy Director, Division of Scientific Programs

Kate Li, PhD, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Gwendolyn Osborne, MD, MPH, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Karin Ricker, PhD, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

Meng Sun, PhD, MS, Chief, Air and Site Assessment and Climate Indicators Branch

Feng Tsai, PhD, MS, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Kiana Vaghefi, Proposition 65 Implementation Program

ALSO PRESENT:

Wade Barranco, PhD, Lyondell Basell

	<u>INDEX</u>	<u>PAGE</u>
I	Welcome and Opening Remarks	1, 8
II	Swearing in of New CIC Members	5
III	Consideration of Listing Vinyl Acetate for Carcinogenicity under Proposition 65	
	1. Staff Presentation	17
	2. Committee Discussion	45
	3. Public Comments	108
	4. Committee Discussion and Decision	110
IV	Consent Item - Update of the California Code of Regulations, Title 27, Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	126
V	Staff Updates	
	1. Chemical Listings via the Administrative Listing Mechanisms	130
	2. Safe Harbor Levels	132
	3. Other Regulations and Litigation	132
VI	Summary of Committee Direction	137
	Adjournment	140
	Reporter's Certificate	141

PROCEEDINGS

ACTING DIRECTOR EDWARDS: All right. Well, good morning. Welcome to everyone joining this meeting of the Carcinogen Identification Committee. The meeting is held in person -- being held in person and virtually.

My name is Dr. Dave Edwards. I am Chief Deputy Director and Acting Director of the Office of Environmental Health Hazard Assessment, or OEHHA. Lauren Zeise retired as the OEHHA Director in June of this year.

OEHHA is a department within the California Environmental Protection Agency and is the lead State agency for the assessment of health risks posed by environmental contaminants. As we get started, just a couple of housekeeping items for those attending in the room here in Sacramento. The emergency exits are through the double doors directly in the back where you entered the room and at the front of the room to the left and right under the lighted exit signs. And you can access the restrooms by going out the back double doors and turning left, walking to the end of the hall.

We have two newly appointed members of the Committee and I'll be introducing and swearing them in shortly. Our main agenda item today is for the consideration of vinyl acetate for listing as a carcinogen under Proposition 65. After the vinyl acetate agenda

1 item, the Committee will take up a consent item on the
2 Section 27000 list of chemicals for which testing has been
3 required, but has been inadequate. This is different from
4 the Proposition 65 list.

5 For the third and final agenda item, staff will
6 present updates on various Proposition 65 regulatory and
7 other activities.

8 We will take a 45-minute break for lunch around
9 noon and take a short 15-minute break some time in the
10 afternoon. This meeting is being recorded and
11 transcribed. The transcript will be posted on OEHHA's
12 website.

13 (Slide presentation).

14 ACTING DIRECTOR EDWARDS: During this meeting,
15 there will be an opportunity to provide oral public
16 comment on the vinyl acetate item. Individuals who are in
17 person and wish to make an oral comment at today's meeting
18 are asked to fill out a blue comment card and give them to
19 OEHHA staff.

20 Tina, if you want to raise your hand, that would
21 be great. Thank you.

22 Blue comment cards are located in the back of the
23 room. When called by the Chair, please approach the
24 microphone. Please state your name, affiliation, and
25 provide your comment. Those who are joining us virtually

1 and wish to make an oral comment at today's meeting are
2 asked to join the Zoom webinar.

3 Information on how to join via Zoom is shown on
4 the slide. Go to the URL on the slide and register for
5 today's Zoom webinar. You will receive a link to join the
6 webinar at the end of the registration process, and if you
7 provided a working email address, you will also receive an
8 email with a link to join the webinar. Zoom users can
9 also access closed captioning by clicking the "CC" button
10 on the bottom panel of the screen. Those of you watching
11 by CalEPA webcast will be able to watch the meeting, but
12 you need to join the meeting by Zoom to speak. When
13 requested by the Chair, individuals on Zoom may queue to
14 provide oral comment by using the "raise hand" function.
15 When your name is called during -- is called during the
16 opportunity for public comment, you will be prompted to
17 unmute yourself. Please unmute yourself, state your name
18 and affiliation, and provide your comment. If you would
19 like to present slides during your public comment and have
20 not already sent them, please email them now to
21 P65public.comments@OEHHA.ca.gov. Public comment will be
22 limited to five minutes per commenter.

23 All right. So now I'd like to turn into the
24 swearing in and introducing of the new CIC members.
25 Starting first with Dr. Ludmil Alexandrov. He is an

1 Associate Professor in the Department of Cellular and
2 Molecular Medicine and the Department of Bioengineering at
3 the University of California, San Diego. Dr. Alexandrov
4 received both his PhD and Master of Philosophy in
5 Computational Biology from the University of Cambridge in
6 the UK and his Bachelor of Science in Computer Science
7 from Neumont University in Utah. He did his post-doctoral
8 training at Los Alamos National Laboratory in Theoretical
9 Biology and Biophysics. Dr. Alexandrov has done extensive
10 work developing knowledge on the ways factors, including
11 environmental and industrial chemicals cause cancer in
12 humans, utilizing the latest tools in doing so. His
13 research is focused on developing novel machine-learning
14 approaches and in leveraging these approaches to elucidate
15 the basic molecular mechanisms underlying cancer
16 development and cancer progression.

17 Welcome to the Committee, Dr. Alexandrov.

18 Next, Dr. Dean Felsher, who is attending
19 remotely. Dr. Felsher is a professor of Medicine,
20 Oncology and of Pathology at Stanford University School of
21 Medicine. He serves as Director or co-Director of the
22 Translational Research and Applied Medicine Center and
23 several research and training programs. Dr. Felsher
24 received his BA from the University of Chicago and his MD,
25 PhD from UCLA. Dr. Felsher is interested in how oncogenes

1 initiate and maintain cancer. Dr. Felsher, along with his
2 laboratory, is studying the basic mechanisms of oncogene
3 addition during which cancer can be briefly reversed by
4 shutting down oncogenes. He is developing novel
5 therapeutics using small molecules, nanoparticles, and
6 proteins/peptides that can be used to target oncogenes
7 and/or restore the immune response against cancer, as well
8 as new diagnostic and imaging methods such as PET, mass
9 spectrometry, and nanoproteomics.

10 Welcome to the Committee, Dr. Felsher.

11 All right, I will now lead them in the oath of
12 office. New members, you'll be asked to say "aye" and
13 then state your name. You may choose to solemnly swear or
14 solemnly affirm the oath.

15 So I guess if both of you could just raise your
16 right hand. All right, this is going to be fun. All
17 right, so we'll just -- for the first line, we'll go ahead
18 and have Dr. Alexandrov say his name first and then Dean
19 we'll go with you. All right, so "I" --

20 COMMITTEE MEMBER ALEXANDROV: I, Ludmil
21 Alexandrov.

22 ACTING DIRECTOR EDWARDS: Dean.

23 COMMITTEE MEMBER FELSHER: I, Dean Felsher.

24 ACTING DIRECTOR EDWARDS: All right. Now, we're
25 going to try to do the rest in tandem.

1 (Laughter).

2 ACTING DIRECTOR EDWARDS: Do solemnly swear --

3 COMMITTEE MEMBER ALEXANDROV: -- do solemnly
4 swear --

5 COMMITTEE MEMBER FELSHER: -- do solemnly swear
6 --

7 ACTING DIRECTOR EDWARDS: -- that I will support
8 and defend the Constitution of the United States --

9 COMMITTEE MEMBER ALEXANDROV: -- that I'll
10 support and defend the Constitution of the United
11 States --

12 COMMITTEE MEMBER FELSHER: -- that I will support
13 and defend the Constitution of the United States --

14 ACTING DIRECTOR EDWARDS: -- and the Constitution
15 of the State of California against all enemies, foreign
16 and domestic --

17 COMMITTEE MEMBER ALEXANDROV: -- and the
18 Constitution of the State of California against all
19 enemies, foreign and domestic --

20 COMMITTEE MEMBER FELSHER: -- and the
21 Constitution of California against all domestic enemies,
22 international and domestic --

23 ACTING DIRECTOR EDWARDS: -- that I will bear
24 true faith and allegiance to the Constitution of the
25 United States and the Constitution of the State of

1 California --

2 COMMITTEE MEMBER ALEXANDROV: -- that I'll bear
3 true faith and allegiance to the Constitution of the
4 United States and the Constitution of the State of
5 California --

6 COMMITTEE MEMBER FELSHER: -- and I'll bear true
7 allegiance to the Constitution of the United States and
8 the Constitution of California --

9 ACTING DIRECTOR EDWARDS: -- that I will take
10 this obligation freely without any mental reservation or
11 purpose of evasion --

12 COMMITTEE MEMBER ALEXANDROV: -- that I'll take
13 this -- that I'll this -- that I'll take obli -- why don't
14 you let me just -- that I'll take -- that I'll take this
15 obligation freely without any mental reservations or
16 purpose of evasion --

17 COMMITTEE MEMBER FELSHER: -- that I'll take this
18 obligation freely without any reservations or --

19 ACTING DIRECTOR EDWARDS: Purpose of evasion.

20 COMMITTEE MEMBER FELSHER: -- Purpose of
21 evasions.

22 ACTING DIRECTOR EDWARDS: All right. And that I
23 will well and faithfully discharge the duties upon which I
24 am about to enter.

25 COMMITTEE MEMBER ALEXANDROV: -- and that I will

1 well and faithfully discharge the duties upon which I am
2 about to enter.

3 COMMITTEE MEMBER FELSHER: -- and I will well and
4 faithfully discharge the duties about which I'm about to
5 enter.

6 ACTING DIRECTOR EDWARDS: All right,
7 congratulations.

8 (Applause)

9 ACTING DIRECTOR EDWARDS: We are honored to
10 welcome you to the CIC Committee. Your deep understanding
11 of carcinogens and contributions in your fields will add
12 to this esteemed body, which makes the State of California
13 a leader in identifying carcinogens and protecting people
14 in the state from them.

15 All right, so now I will introduce the CIC
16 members -- the rest of the Committee. So as I introduce
17 you, please state your name and affiliation.

18 Jason.

19 COMMITTEE MEMBER BUSH: Good morning, everyone.
20 Jason Bush, Associate Dean, College of Science and
21 Mathematics, Professor of Cancer Biology, California State
22 University, Fresno, and adjunct faculty, UCSF Fresno.

23 ACTING DIRECTOR EDWARDS: Thanks
24 Catherine.

25 COMMITTEE MEMBER CRESPI: Yeah. Catherine

1 Crespi. UCLA School of Public Health and Jonsson
2 Comprehensive Cancer Center. I'm a Professor of
3 biostatistics.

4 ACTING DIRECTOR EDWARDS: Thank you.
5 David.

6 COMMITTEE MEMBER EASTMOND: Dave Eastmond. I'm a
7 Professor Emeritus from the University of California at
8 Riverside.

9 ACTING DIRECTOR EDWARDS: Joe.

10 COMMITTEE MEMBER LANDOLPH: Hi. My name is Joe
11 Landolph and I'm Associate Professor of Molecular
12 Microbiology and Immunology, Pathology, and Molecular
13 Pharmacology and Toxicology, and a member of the Cancer
14 Center of the University of California -- University of
15 Southern California in Los Angeles, California.

16 ACTING DIRECTOR EDWARDS: Thank you.
17 Dana.

18 CHAIR LOOMIS: Good morning. Dana Loomis. I'm
19 Chair.

20 It's on. At least the green light is on.

21 See if this works better. Yeah. Dana Loomis.
22 Recently retired from the Plumas County Public Health
23 Agency and the Desert Research Institute.

24 ACTING DIRECTOR EDWARDS: Tom.

25 COMMITTEE MEMBER McDONALD: Hi. Thomas McDonald.

1 I'm Associate Research Director. I serve as the lead of
2 Product Safety for Research and Development of all of the
3 Clorox Company.

4 ACTING DIRECTOR EDWARDS: Mariana.

5 COMMITTEE MEMBER STERN: Good morning, everyone.
6 I'm Mariana Stern. I'm a Professor of Population and
7 Public Health Sciences at the University of Southern
8 California, and the Keck School of Medicine, and Associate
9 Director of Population Science at the USC Norris
10 Comprehensive Cancer Center.

11 ACTING DIRECTOR EDWARDS: Sophia.

12 COMMITTEE MEMBER WANG: Good morning. My name is
13 Sophia Wang. I'm a Professor in the Beckman Research
14 Institute at the City of Hope and a member of the City of
15 Hope Comprehensive Cancer Center in Duarte, California.

16 ACTING DIRECTOR EDWARDS: All right. And now,
17 Ahmad, who's attending remotely.

18 COMMITTEE MEMBER BESARATINIA: Good morning. I'm
19 Ahmad Besaratinia. I'm a Professor at the Department of
20 Population and Public Health Sciences at the University of
21 Southern California in Los Angeles.

22 ACTING DIRECTOR EDWARDS: Welcome, everyone.
23 Great to see the majority of us here in person today. We
24 do appreciate you taking the time to provide your advice
25 and judgment at this meeting.

1 All right. Next, I'd like to introduce OEHHA
2 staff. For those in the room, I invite them to raise
3 their hand. And for those joining via Zoom webinar, I
4 invite them to turn on their cameras.

5 So we'll start with Dr. Kannan Krishnan. He is
6 the Acting Director for Scientific Programs. And if I
7 ever need to step out, Dr. Krishnan will take my place.
8 I'm not expecting that today. And from the Reproductive
9 and Cancer Hazard Assessments Branch, we have Martha
10 Sandy, who is the Branch Chief. Dr. Meng Sun, she is
11 currently Branch Chief of the Air and Site Assessment and
12 Climate Indicators Branch. And right, I guess, leading up
13 to December, before that, she was the Section Chief of the
14 Cancer Toxicology and Epidemiology Section.

15 And then we have staff of the Cancer Toxicology
16 and Epidemiology Section that are joining us today: Drs.
17 Isabel Alvarado, Vanessa Cheng, Sarah Elmore, Neela Guha,
18 Kate Li, Gwendolyn Osborne, Karin Ricker, and Feng Tsai.
19 We also have members from our Office of External and
20 Legislative Affairs, our Proposition 65 implementation
21 team. We have Tina Cox, who's a Senior Environmental
22 Scientist, Section Chief of the Proposition 65
23 Implementation Program. Tina is also acting for Amy
24 Gilson, OEHHA's Deputy Director for External and
25 Legislative Affairs, Kiana Vaghefi, an Environmental

1 Scientists in the Proposition 65 Implementation Program,
2 and Julia Dollof, a Senior Environmental Scientist and our
3 new Proposition 65 Ombudsperson. And then from OEHHA
4 legal, we have Corey Friedman, Senior Staff Counsel.

5 All right, so I think that's all of the
6 introductions. So now I'll ask Corey Friedman for some
7 introductory remarks about Bagley-Keene and other legal
8 issues related to participation in today's virtual
9 meeting. Corey.

10 COREY FRIEDMAN: Good morning.

11 How is that?

12 Good morning.

13 No. Okay.

14 Better?

15 Okay. Good morning, everyone. Nice to see you.
16 For those I haven't met before, nice to meet you. I'm
17 Corey Friedman, as Dave said. I have just a few things to
18 remind you of before we get started with the substance of
19 the meeting.

20 Feel free to ask me questions at any time during
21 the meeting. I'll be here the whole time. If, for any
22 reason, I have to step out, my colleague Kristi Morioka is
23 here as well. So there will be a lawyer present the whole
24 meeting. First, a quick reminder that Bagley-Keene Open
25 Meetings Act applies to this meeting, the basic idea of

1 which is that the public's business should be done in
2 public. So for the Committee members, please remember
3 that all discussions and deliberations about agenda items
4 need to be conducted during the open meeting, so not
5 during breaks, lunch or with individual members on or
6 offline, including phone, email, chat, text, any other
7 methods.

8 For those who are participating remotely, you
9 need to appear on camera during the meeting, unless you
10 have a loss of internet connectivity or something else
11 that makes it technologically impractical for you to keep
12 your video on. So if something like that does happen,
13 please announce the reason before you turn off your video.

14 Remote committee members also need to disclose if
15 there is anyone 18 or older present in the room with you
16 and your relationship to those persons. So I will pause
17 now to see if anyone needs to make that disclosure.
18 Seeing no nodding heads, so I will continue.

19 Next, all the experts serving on this Committee
20 have participated in mandatory ethics training and have
21 disclosed any actual or potential conflicts of interest,
22 including any relevant ex parte communications about the
23 subject matter.

24 Finally, I'd like to remind you that the listing
25 criteria that's been adopted by this Committee is in your

1 binders under "Criteria". These criteria were adopted by
2 the Committee to help you make decisions about potential
3 listing of chemicals. Your decision should be based on
4 those criteria, not on the consideration of any possible
5 future impacts of a listing, such as whether or not
6 warnings would be required for any particular exposure.
7 In other words, the members of this Committee were
8 appointed by the Governor because of your scientific
9 expertise and are considered the State's qualified experts
10 on the carcinogenicity of chemicals, but there is no need
11 to go outside that charge.

12 I think we have a slide here.

13 (Slide presentation).

14 COREY FRIEDMAN: This is just as a reminder.
15 There are four separate and independent listing
16 mechanisms, i.e. ways in which a chemical can be listed
17 under Proposition 65, in addition to the State's qualified
18 experts method, whereby the CIC or the DARTIC, which is
19 the equivalent to the CIC, but for reproductive toxicants.
20 In addition to that method of listing, there are the other
21 ones on that slide. It can be listed as formally required
22 by an authoritative body or under the California Labor
23 Code. However, the criteria for those other methods are
24 not relevant to your determination today, so you should
25 not consider them. It does not matter whether or not the

1 requirements for the other methods of listing have been
2 met.

3 During the course of the meeting, if you feel
4 like you have insufficient information or you need time to
5 think about the issues in front of the Committee, there's
6 no requirement that you make a decision today. You can
7 defer your decision to another meeting and give staff
8 suggestions on the information you need. We would be
9 happy to get that information and present it at a future
10 meeting. But, of course, staff are here today, so if
11 there's any questions that you can ask that can be
12 answered today, everyone will make the utmost effort to do
13 so.

14 Your charge today is to determine -- or actually
15 your charge at every meeting is to determine whether the
16 chemicals presented are clearly shown through
17 scientifically valid testing, according to generally
18 accepted principles, to cause cancer. The standard is a
19 scientific judgment call. It's not a legal standard of
20 proof. This Committee can decide to list a chemical based
21 exclusively on animal evidence. The chemical need not
22 have been shown to be a carcinogen in human studies.

23 As stated in the guidance criteria adopted by
24 this Committee, the CIC will normally identify a chemical
25 for listing if the weight of scientific evidence clearly

1 shows either that a certain chemical causes invasive
2 cancer in humans or that it causes invasive cancer in
3 animals, unless the mechanism of action has been shown not
4 to be relevant in humans.

5 In addition, whether or not there are human
6 exposures to the chemical or whether or not current human
7 exposures are sufficiently high enough to cause cancer is
8 not relevant to the listing decision. The CIC only
9 considers hazard. Dose response assessment occurs at a
10 later stage outside the purview of this particular
11 meeting. Whenever OEHHA proposes a, "No Significant Risk
12 Level" for a listed chemical, the members of this
13 Committee are given the opportunity to comment.

14 As I've said before, feel free to ask clarifying
15 questions of the OEHHA staff during the meeting. And if
16 we don't know the answer to your question right away,
17 we'll try to find it, as fast as we can and report it back
18 to you. Also, if after this meeting at any point you have
19 any questions about Bagley-Keene, feel free to get in
20 touch with me and I'm happy to answer them.

21 And that is it for me for now. So I will turn it
22 back over to Dave Edwards.

23 ACTING DIRECTOR EDWARDS: Thanks, Corey.

24 All right. Well, now, I'll turn it over to Dr.
25 Loomis, the Committee Chair for the meeting today.

1 Dana.

2 CHAIR LOOMIS: Thank you, Dave. I'd like to
3 begin by reading all of their -- continuing members of the
4 Committee, it's great to see all of you again and also
5 express my pleasure in meeting the new members joining us
6 today for the first time. I want to thank Committee staff
7 for their work to get us prepared for this meeting and
8 members of the public who have chosen to attend today,
9 whether in person or online.

10 So, with that said, we'll go ahead with the first
11 agenda item, which is consideration of vinyl acetate as
12 known to the State to cause cancer. We'll begin with a
13 presentation by the staff. I think Dr. Sun will kick that
14 off for us.

15 (Slide presentation).

16 DR. MENG SUN: Thank you, Dr. Loomis and good
17 morning everyone. Welcome, CIC members. I'm speaking to
18 you today on behalf of all staff scientists of the Cancer
19 Toxicology and Epidemiology Section. Let me first provide
20 some background on the process by which vinyl acetate was
21 brought to you today. Vinyl acetate was brought to the
22 CIC for consultation and prioritization in 2016. And the
23 CIC recommended that vinyl acetate be placed in the medium
24 priority group for future listing consideration. OEHHA
25 selected vinyl acetate for consideration for listing, and

1 in August 2023, OEHHA solicited from the public
2 information relevant to the assessment of evidence on its
3 carcinogenicity. Information received at that time was
4 reviewed and considered by OEHHA in the course of
5 preparing the October 2024 hazard identification document,
6 or HID. This document, as well as the references cited,
7 and the public comments received on the document have all
8 been provided to you for your consideration.

9 The HID and the presentation you will be hearing
10 and seeing today are the work products of all staff
11 scientists of the section and not just those who are
12 speaking to you today. OEHHA scientists are at the
13 meeting and will be able to answer any clarifying
14 questions.

15 So now I will turn it over to Dr. Osborne to
16 start the presentation.

17 DR. GWENDOLYN OSBORNE: Can you hear me?

18 Okay. Good morning. Today, we're going to
19 present a summary of the evidence on the carcinogenicity
20 of vinyl acetate.

21 [SLIDE CHANGE]

22 DR. GWENDOLYN OSBORNE: There we go.

23 Okay. All right. I'm going to start with an
24 introduction, then present the carcinogenicity data
25 starting with epidemiological studies, then Dr. Li will

1 present the animal studies, Dr. Ricker will present the
2 pharmacokinetics and metabolism of vinyl acetate, and Dr.
3 Cheng will present data related to the key characteristics
4 of carcinogens and similarities between vinyl acetate and
5 its key metabolite acetaldehyde.

6 [SLIDE CHANGE]

7 DR. GWENDOLYN OSBORNE: Okay. Vinyl acetate is a
8 monocarboxylic unsaturated aliphatic ester as shown on the
9 top of the slide, and is volatile and soluble in water and
10 organic solvents. It is a high production volume man-made
11 chemical used in many applications.

12 Vinyl acetate is mainly used as a monomer to
13 produce polymers and copolymers, such as polyvinyl acetate
14 and ethylene-vinyl acetate copolymers. There are many
15 industrial or commercial applications of these vinyl
16 acetate-based polymers, such as adhesives, paints and
17 coatings. In addition, vinyl acetate is approved by the
18 FDA as a food additive as vinyl acetate monomer and vinyl
19 acetate-based polymers.

20 Vinyl acetate has been detected in the
21 environment and various consumer products due to its wide
22 use. Occupational exposure is likely via inhalation or
23 dermal contact. The general population may be exposed to
24 low levels of vinyl acetate via inhalation of contaminated
25 air, ingestion of contaminated water or food, or dermal

1 contact with products containing residual vinyl acetate
2 monomer. However, no biomonitoring data were available.

3 [SLIDE CHANGE]

4 DR. GWENDOLYN OSBORNE: Vinyl acetate has been
5 reviewed by two other health agencies, IARC, or the
6 International Agency for Research on Cancer, is one of the
7 authoritative bodies for Proposition 65. And they
8 classified vinyl acetate as a Group 2B carcinogen in 1995
9 with inadequate evidence in humans and limited evidence in
10 experimental animals. In making the evaluation, the
11 working group took into account these three
12 considerations:

13 Vinyl acetate is rapidly transformed into
14 acetaldehyde in the body.

15 Second, there is sufficient animal evidence for
16 the carcinogenicity of acetaldehyde which is listed as a
17 carcinogen under Proposition 65. Both vinyl acetate and
18 acetaldehyde induce nasal cancer in rats.

19 And third, both vinyl acetate and acetaldehyde
20 are genotoxic.

21 The note that vinyl acetate was not listed by
22 Proposition 65 via the Labor Code mechanism, because this
23 mechanism requires a classification of Group 1, 2A or 2B
24 with sufficient evidence in humans or animals, which vinyl
25 acetate did not have at the time of IARC's review in 1995.

1 Additional studies have since been published, which will
2 be described later in the presentation.

3 In 2011, the European Chemicals Agency classified
4 vinyl acetate as a Category 2 carcinogen, suspected of
5 causing cancer. The CIC placed vinyl acetate in the
6 medium priority group for future listing consideration
7 when we prioritized chemicals in 2016. This is why we
8 prepared the hazard identification document and are
9 presenting it for your evaluation today.

10 [SLIDE CHANGE]

11 DR. GWENDOLYN OSBORNE: Now, we'll go through the
12 evidence relating to the carcinogenicity of vinyl acetate
13 starting with the epidemiological studies.

14 Are you clicking?

15 Okay. The literature search identified less than
16 10 relevant epidemiologic studies reporting on vinyl
17 acetate exposure and cancer.

18 There was only one study population per cancer
19 outcome with some overlapping publications. Each included
20 study was evaluated thoroughly for potential biases using
21 general guidance from the NTP Report on Carcinogens
22 Handbook and the IARC Monographs Program Preamble. All
23 studies, except one, were conducted in workers who were
24 co-exposed to many known and suspected carcinogens. Some
25 examples of these are shown on the slide, such as vinyl

1 chloride, 1,3-butadiene and styrene.

2 Overall, there were some elevated risk estimates,
3 but these studies all had issues with quality and quality,
4 and chance, bias and confounding could not be ruled out.

5 [SLIDE CHANGE]

6 DR. GWENDOLYN OSBORNE: One study was not in an
7 occupational setting, which we'll now take a closer look
8 and that study was Heck et al. This was a study of air
9 toxics conducted within the Multi-Ethnic cohort. The
10 analysis included more than 48,000 women in the greater
11 Los Angeles area. This map shows the exposure
12 concentrations of vinyl acetate in Los Angeles.

13 Residential addresses were geocoded according to the year
14 2000 census tracts and linked to National Air Toxics
15 Assessment, an ongoing review published by the U.S. EPA.

16 Several U.S. studies of time activity patterns
17 provide evidence that home measurements of air toxics are
18 a good proxy for overall exposure to these chemicals. The
19 participants were then followed up for incident invasive
20 breast cancer between 2003 and 2013 through linkage to the
21 California Cancer Registry.

22 [SLIDE CHANGE]

23 DR. GWENDOLYN OSBORNE: These are the vinyl
24 acetate results for the Heck et al. study with the risk
25 estimate of one shown by the dotted vertical line on the

1 left. In all women combined, a more than fivefold
2 increased breast cancer risk was reported with one
3 interquartile range increase in ambient residential vinyl
4 acetate levels in the overall analysis after adjustment
5 for several potential confounders. The authors were able
6 to conduct several sensitivity analyses, since the large
7 sample size provided adequate statistical power. The risk
8 estimates remained consistently elevated in these
9 sensitivity analyses, including in non-smokers,
10 stratification by hormonal receptor cancer subtype, by
11 race/ethnicity, and in women who never moved from their
12 residence throughout the study period.

13 The highest adjusted risk estimates were observed
14 in women with hormone receptor-negative tumors and in
15 African American women. Vinyl acetate levels varied
16 across neighborhoods and racial -- and between
17 racial/ethnic groups. The authors noted that African
18 American participants lived primarily within the area
19 bordered by several freeways with high levels of
20 traffic-related and specific agents compared to white
21 participants.

22 [SLIDE CHANGE]

23 DR. GWENDOLYN OSBORNE: There were several
24 strengths of this study, including a large sample size,
25 prospective cohort, multi-ethnic population, detailed

1 questionnaire that collected data on multiple covariates,
2 and detailed residential histories available for residents
3 who lived in California during the study period.

4 There were also a few limitations. Precision may
5 have been hampered by using air pollution models that give
6 exposure estimates at the census tract level, not for
7 individuals. A non-exhaustive list of chemicals was
8 assessed, some of which could be correlated with other
9 unmeasured chemical exposures. And finally, important
10 earlier life exposures could be missing since the
11 exposures were estimated only during the study period, so
12 that concludes the presentation of the epidemiologic
13 studies. And now, Dr. Li will present the evidence in
14 animals.

15 [SLIDE CHANGE]

16 DR. KATE LI: Can you hear me?

17 Thank you, Dr. Osborne.

18 Thank you, Dr. Osborne.

19 Now, I will present vinyl acetate carcinogenesis
20 studies in animals. A total of 24 long-term studies has
21 been conducted in rats and mice by laboratories across the
22 U.S., Japan, and Europe. In rats, there were 16 studies
23 conducted in five strains with two using the inhalation
24 route and 14 via drinking water. For mice, there were
25 eight studies in three strains, including two inhalation

1 studies, and six drinking water studies.

2 [SLIDE CHANGE]

3 DR. KATE LI: This overview slide displays all
4 animal studies. We group studies by species, route of
5 exposure, strain and sex, and administered doses. All
6 reported studies are long-term bioassays. In rats, 10
7 studies highlighted in red font has examined postnatal
8 exposure to vinyl acetate starting at six weeks of age or
9 later with exposure duration of 100 or 104 weeks, as noted
10 in M for male, F for female rats and in parental or F0
11 rats in the two generation studies. For example, there
12 are two inhalation studies in rats. One in males and the
13 second in females. Additionally, six studies were focused
14 on early life exposure starting preconception and/or in
15 utero, continuing after birth to the offspring or F1
16 animals until 104 weeks of age.

17 [SLIDE CHANGE]

18 DR. KATE LI: In mice, the studies in red color
19 show six postnatal exposures with treatment durations of
20 78 or 104 weeks and two studies were early life exposures
21 in F1 animals starting in utero and continuing after birth
22 until 78 weeks of age. The bright blue represents studies
23 with treatment related or positive tumor findings,
24 specifically positive findings were reported in 13 rat
25 studies and five mouse studies.

1 [SLIDE CHANGE]

2 DR. KATE LI: Now I will show studies conducted
3 before and after the IARC 1995 monograph. Studies
4 outlined in blue boxes are the ones IARC relied on as the
5 basis for the classification of vinyl acetate. There were
6 four inhalation studies in rats and mice and four drinking
7 water studies in rats available at the time.

8 Now -- oh, yeah. In the HID, OEHHA incorporate
9 additional studies published after the IARC
10 classification, showing in the red boxes. There are 16
11 drinking water studies, including 10 rat studies and six
12 mouse studies. Among the positive studies added after
13 1995, many tumor sites identified are distant from the
14 site of entry. In the following slides, I will take you
15 through the findings from the rat and mouse studies.

16 [SLIDE CHANGE]

17 DR. KATE LI: We have many tumor incidence tables
18 to present. In the following slides, each tumor incidence
19 table is organized by tumor site and type, administered
20 concentrations, and exact trend test values.

21 Tumor incidence with asterisk mark in the treated
22 group indicates that there is a statistically significant
23 increase compared to the control group by pairwise
24 comparison. I will refer to these findings as significant
25 increase and I will refer to a statistically significant

1 dose-related trend when p-value is less than 0.05 as a
2 dose-related trend. In addition, rare tumors are
3 illustrated with "r" in all slides.

4 [SLIDE CHANGE]

5 DR. KATE LI: Now, I will present you tumor
6 findings from rat studies.

7 [SLIDE CHANGE]

8 DR. KATE LI: In the 104-week inhalation studies
9 of Sprague-Dawley or SD-derived, Crl:CD(SD)BR rats,
10 animals were exposed to vinyl acetate by inhalation
11 starting at six weeks of age for 104 weeks. In male rats,
12 nasal tumors including nasal squamous cell papilloma,
13 squamous cell carcinomas, and carcinoma in situ were
14 observed. Total nasal tumors were significantly increased
15 in the high dose group with a dose-related trend. Exact
16 trend tests valuse are presented in the last column on the
17 right. Spontaneous occurrence of nasal tumors is rare in
18 male rats, so each type is labeled with "r".

19 [SLIDE CHANGE]

20 DR. KATE LI: In the corresponding inhalation
21 study conducted in female rats, rare squamous cell
22 carcinomas of the nasal cavity were observed in the
23 high-dose group, but not in the control animals.

24 [SLIDE CHANGE]

25 DR. KATE LI: This table is the Fischer 344 rat

1 studies. Animals were treated with vinyl acetate starting
2 at seven to eight weeks of age in drinking water for 100
3 weeks and observed up to additional 30 weeks.

4 In females, as shown here, incidences of liver
5 hepatocellular adenomas, uterine endometrial stromal
6 polyps, and thyroid C-cell adenomas were significantly
7 increased in the high-dose group. Dose-related trends
8 were observed for uterine, thyroid, and pituitary tumors.

9 In the corresponding study conducted in males, no
10 treatment-related tumor findings were observed.

11 [SLIDE CHANGE]

12 DR. KATE LI: In the studies of Fischer 344/DuCrj
13 rats, animals were administered vinyl acetate in drinking
14 water starting at six weeks of age for 104 weeks. In male
15 rats, tumors were observed in the oral cavity and testes.
16 In the oral cavity and lip mucosa, squamous cell
17 carcinomas and squamous cell papilloma and carcinoma
18 combined were significantly increased in the high-dose
19 group. In testes, interstitial cells tumors were observed
20 with a dose-related trend.

21 [SLIDE CHANGE]

22 DR. KATE LI: In the corresponding study in
23 females, tumors were observed in the oral cavity, thyroid
24 glands, and mammary glands. In the oral cavity, squamous
25 cell carcinomas were increased with a dose-related trend.

1 In thyroid glands, significant increases in C-cell
2 adenomas, and adenoma and carcinoma combined were observed
3 in the mid-dose group.

4 [SLIDE CHANGE]

5 DR. KATE LI: Now, I will present you the
6 two-generation studies in Sprague-Dawley, or SD, rats in
7 two slides. Here, male and female parental or F0 rats
8 were treated with vinyl acetate in drinking water starting
9 from 17 weeks of age and continuing for 104 weeks. The
10 offspring or F1 rats were exposed to vinyl acetate
11 starting in utero through lactation, then post-weaning in
12 drinking water until 104 weeks of age. This slide
13 presents findings for the male rat studies.

14 In F0 animals, pancreatic islet cell adenomas
15 were significantly increased at the high dose with a
16 dose-related trend.

17 In F1 animals squamous cell carcinomas of the
18 oral cavity and lips were significantly increased in the
19 high-dose group. In forestomach, squamous cell carcinomas
20 were significantly increased in both dose groups with a
21 dose-related trend. Additionally, rare pancreatic
22 exocrine adenomas were significantly increased in the
23 low-dose group.

24 [SLIDE CHANGE]

25 DR. KATE LI: This slide presents tumor findings

1 from the female SD rat studies. In F0 animals,
2 forestomach squamous cell carcinomas were observed in the
3 high-dose group. In the F1 females, squamous cell
4 carcinomas of the oral cavity and lips and forestomach
5 were significantly increased in the high-dose group with
6 dose-related trends. And adrenal gland
7 pheochromoblastomas were significantly increased in the
8 low dose group. In addition, rare tongue tumors were
9 observed in the high dose.

10 [SLIDE CHANGE]

11 DR. KATE LI: The next three slides are the two
12 generation studies in Wistar rats. Male and female F0
13 rats were administered vinyl acetate in drinking water
14 starting from 17 weeks of age and continuing for 104
15 weeks. F1 rats were exposed to vinyl acetate starting in
16 utero through lactation and then post-weaning in drinking
17 water until 104 weeks of age.

18 This slide presents tumor findings from the male
19 rat studies. In F0 animals, no treatment-related tumors
20 were observed. In F1 animals, as showing in the table,
21 squamous cell carcinomas of the oral cavity and lips were
22 significantly increased in the high-dose group with a
23 dose-related trend. Tumors of the pharynx, esophagus, and
24 forestomach were observed in the high-dose group.
25 Incidences of pancreatic exocrine adenomas were

1 significantly increased in the low dose group. In adrenal
2 glands, pheochromoblastomas were significantly increased
3 in the high-dose group with a dose-related trend.

4 [SLIDE CHANGE]

5 DR. KATE LI: This slide presents findings from
6 the F0 female Wistar rat study. There was a dose-related
7 trend in lymphomas and leukemia of the hemolymphoreticular
8 tissues. Adrenal gland pheochromocytomas were
9 significantly increased in the low-dose group. Uterine
10 fibrosarcomas were observed in the high dose -- high-dose
11 group.

12 [SLIDE CHANGE]

13 DR. KATE LI: In F1 females, tumors were observed
14 in multiple sites. Tumors of hemolymphoreticular tissues
15 were significantly increased at the high dose with a
16 dose-related trend. Squamous cell carcinomas were
17 observed in several tissues. In the oral cavity and lips,
18 the incidence was significantly increased at the high dose
19 with a dose-related trend. In tongue, there was a
20 significant increase at the high dose. In the esophagus,
21 a dose-related trend was observed. In forestomach, tumors
22 were observed at the high dose. Additionally, uterine
23 adenocarcinomas were significantly increased at the high
24 dose with a dose-related trend.

25 [SLIDE CHANGE]

1 DR. KATE LI: This slide summarizes the drinking
2 water studies in male and female Crl:CD(SD)BR rats.
3 Animals were exposed to vinyl acetate throughout all life
4 stages starting preconception and in utero to parental
5 animals, and continuing after birth. F1 animals were
6 terminated at 104 weeks of age for examinations. In
7 males, two squamous cell carcinomas of the oral cavity
8 were observed in the high-dose group. In females, no
9 treatment-related tumors were observed

10 These are all the rat tumor findings.

11 [SLIDE CHANGE]

12 DR. KATE LI: Now, I will present you tumor
13 findings in mouse studies.

14 [SLIDE CHANGE]

15 DR. KATE LI: In Crj:BDF1 mouse studies, animals
16 was treated with vinyl acetate in drinking water starting
17 at six weeks of age for 104 weeks. In male mice, as
18 showing in the table, in the oral cavity and forestomach,
19 there were significant increases in squamous cell
20 carcinomas, and papilloma and carcinoma combined. In
21 esophagus, significant increases in squamous cell
22 carcinomas were observed in the high-dose group. In
23 addition, rare larynx tumors were observed in the high
24 dose.

25 [SLIDE CHANGE]

1 DR. KATE LI: In the corresponding studies in
2 female mice, squamous cell carcinomas, papilloma and
3 carcinoma combined of the oral cavity were significantly
4 increased in the high-dose group. Squamous cell papilloma
5 and carcinomas of the forestomach were observed in the
6 high-dose group. Additionally, significant increases in
7 spleen malignant lymphomas were observed in the low-dose
8 group.

9 [SLIDE CHANGE]

10 DR. KATE LI: Now, I will present the
11 two-generation studies in Swiss mice in three slides. F0
12 animals were treated with vinyl acetate in drinking water
13 starting at 17 weeks of age for 78 weeks. F1 animals were
14 exposed to vinyl acetate starting in utero, through
15 lactation, and post-weaning in drinking water until 78
16 weeks of age.

17 In F0 males, no treatment-related tumors were
18 observed. In F1 males, as shown in the table here, tumors
19 were observed in organs of the digestive system, including
20 oral cavity, tongue, esophagus, and forestomach.
21 Significant increases in squamous cell carcinomas of the
22 oral cavity and esophagus were observed in the high-dose
23 group. In forestomach, acanthomas were also significantly
24 increased in the high-dose group, with a dose-related
25 trend.

1 [SLIDE CHANGE]

2 DR. KATE LI: Continuing to the tumor findings in
3 female Swiss mice. In F0 animals, as shown in this table,
4 squamous cell carcinomas of the esophagus and acanthomas
5 of forestomach were significantly increased in the
6 high-dose group.

7 [SLIDE CHANGE]

8 DR. KATE LI: In F1 females, tumors were observed
9 in tissues of the digestive system in the uterus, lungs,
10 mammary glands, and Zymbal glands. Squamous cell
11 carcinomas of the oral cavity, tongue, esophagus and
12 forestomach were significantly increased in the high-dose
13 group. In forestomach, acanthomas were also signif --
14 increased -- significantly increased in the high-dose
15 group. And Zymbal gland carcinomas were observed with a
16 dose-related trend. This completes the reporting of tumor
17 findings for mouse studies.

18 [SLIDE CHANGE]

19 DR. KATE LI: Here, we summarize all animal tumor
20 findings associated with vinyl acetate treatments,
21 organized by species and strain. The first column on the
22 left is the organ system and then the tumor site. This
23 arrangement makes it easier to compare tumor site
24 similarities across different strains and species.

25 In each row, M is for male and F is for female,

1 and rare tumors are noted as superscript R. Bold font for
2 male or female indicates tumors with a significant
3 increase, as determined by pairwise comparison and/or a
4 significant trend. Tumors not in bold include those with
5 a significant trend driven solely by increases observed at
6 the high-dose or the occurrence of rare tumors.

7 Okay. Great. Yeah. Just a reminder that the
8 tumor findings in SD-derived rats were from inhalation
9 studies as outlined in the blue box, and findings in all
10 another strains were from drinking water studies.

11 DR. MARTHA SANDY: Excuse me, Kate. The display
12 is cutting off one of the rows at the bottom of the slide.

13 DR. KATE LI: Right. I don't see it.

14 DR. MARTHA SANDY: And...

15 DR. MENG SUN: So Committee members, can you see
16 the bottom row of the table showing immune systems?

17 No. Okay. So just for your information the
18 bottom row is cut off and it shows immune system and the
19 tumor sites are hemolymphoreticular tissues and there are
20 findings for female rats, in Wistar rats, and also female
21 Crj:BDF1 mice.

22 DR. KATE LI: Yeah, it's -- both are in drinking
23 water studies.

24 So as shown here in the respiratory system, nasal
25 tumors were reported in both male and female Crl:CD(SD)BR

1 rats. There was a significant increase in males and there
2 was only a dose-related trend in females. So the female
3 is not bold. The tumors in both male and female are rare,
4 as marked with superscript R.

5 Moving down, in the digestive system, oral cavity
6 tumors were observed in both males and females and across
7 three rat strains and two mouse strains. You can also see
8 that tumors were observed in the endocrine system, the
9 reproductive system, and in auditory and immune system, as
10 and Dr. Sun just mentioned. I won't list each tumor site
11 here.

12 So here, highlighted in the red color are tumors
13 observed in organ and sites distant from the
14 site-of-entry, including lung, liver, pancreas, endocrine,
15 reproductive, and hemolymphoreticular tumors, which are
16 cutoff from the current slide show from drinking water
17 studies -- all from drinking water studies.

18 In summary, vinyl acetate induced tumor-related
19 tumors in multiple organ systems in both rats and mouse.
20 Some tumor types were observed in multiple strains and in
21 both sexes. In addition, among the tumors reported,
22 several are rare tumors.

23 This conclude the reporting of animal
24 carcinogenicity evidence. Now, I will turn it over to Dr.
25 Ricker to continue.

1 [SLIDE CHANGE]

2 DR. KARIN RICKER: Good morning. So we are now
3 switching to -- sorry, can you hear me now?

4 Better?

5 I'm eating it.

6 (Laughter).

7 DR. KARIN RICKER: Okay. So we're now switching
8 to the pharmacokinetics and metabolism of vinyl acetate.
9 And I start with a brief overview.

10 So following inhalation, vinyl acetate is quickly
11 absorbed and distributed throughout the body. And it is
12 largely excreted within 24 hours with the majority
13 excreted in expired air and small amounts in urine and
14 feces. And excretion products include carbon dioxide and
15 acetaldehyde.

16 The metabolism of vinyl acetate proceeds
17 primarily via two key enzymes. The first enzyme is a
18 carboxylesterase and it metabolizes vinyl acetate to
19 acetic acid and vinyl alcohol. Vinyl alcohol is unstable
20 and quickly rearranges to acetaldehyde a known carcinogen.

21 In a second step, acetaldehyde is also
22 metabolized to acetic acid via aldehyde dehydrogenase,
23 primarily the aldehyde dehydrogenase 2, ALDH2 for short.
24 And the resulting acetic acid is -- of either reaction is
25 then introduced into the tricarboxylic acid, or Krebs

1 cycle, where it is further metabolized. There are other
2 metabolic reactions happening as well and we look at these
3 in more detail on the next slide.

4 [SLIDE CHANGE]

5 DR. KARIN RICKER: So here is the metabolism of
6 vinyl acetate. Vinyl acetate is shown here in red under
7 lower -- on the middle left side. And we start with a
8 minor pathway, whereby vinyl acetate is conjugated with
9 glutathione.

10 Moving on to the major metabolic pathway.

11 In the main pathway, vinyl acetate is oxidized to
12 acetic acid and vinyl alcohol via carboxylesterase, or CES
13 for short, shown here, and vinyl alcohol, as I mentioned,
14 is unstable and quickly rearranges into acetaldehyde,
15 which is a known carcinogen.

16 In turn, acetaldehyde is further metabolized by
17 ALDH2 to another molecule of acetic acid and, as I
18 mentioned, introduced into the Krebs cycle via Acetyl CoA
19 synthase. The oxidation of acetaldehyde to acetic acid is
20 mainly carried out by the ALDH2 and to a lesser extent by
21 ALDH1. So ALDH2 is a key player in the detoxification of
22 acetaldehyde and we will hear more about this polymorphic
23 enzyme shortly.

24 Continuing with metabolic reactions. Downstream
25 of acetaldehyde, DNA adducts and DNA-protein crosslinks

1 can be formed and these have been observed in rodents in
2 vivo and in vitro following vinyl acetate or acetaldehyde
3 treatments. Acetaldehyde can also be oxidized by two
4 additional enzymes. These are the aldehyde oxidase and
5 xanthine oxidase. And both enzymatic reactions can form
6 reactive oxygen species, ROS for short, and xanthine
7 oxidase also produces alkyl radicals. And these radicals
8 can lead to alkylated protein adducts.

9 [SLIDE CHANGE]

10 DR. KARIN RICKER: As I briefly mentioned, ALDH2
11 is polymorphic enzymes in humans. So a single nucleotide
12 polymorphism in the gene, known as rs671, encodes a
13 non-functional protein. And the resulting variant allele
14 is denoted as ALDH2*2.

15 Individuals that are homozygous wildtype have
16 full ALDH2 activity. Individuals that are heterozygous
17 have less than half activity, as the polymorphic allele is
18 dominant negative, and individuals that are homozygous for
19 the polymorphism have no activity. So with ethanol
20 consumption, accumulation of acetaldehyde leads to various
21 symptoms such facial flushing and is commonly referred to
22 as alcohol flushing syndrome.

23 The ALDH2*2 polymorphism is mainly found in
24 people of East Asian descent. Up to 40 percent of
25 Chinese, Korean, or Japanese individuals are heterozygous

1 and another five to ten percent are homozygous for the
2 polymorphism. This polymorphism has also been reported in
3 some Southeast Asian populations at lower frequencies and
4 it is not found in other ethnic groups.

5 In conclusion, a reduced capacity to metabolize
6 acetaldehyde can lead to build up of this metabolite. And
7 based on census data, OEHHA estimates that this
8 polymorphism could affect at least one million people in
9 California.

10 [SLIDE CHANGE]

11 DR. KARIN RICKER: So to summarize the key points
12 for the metabolism: vinyl acetate metabolism generates
13 acetaldehyde. And the balance between metabolic
14 activation of vinyl acetate via the carboxylic esterase and
15 clearance of acetaldehyde by ALDH2 largely determines the
16 overall level of acetaldehyde in the cell or tissue, with
17 aldehyde dehydrogenase 2 playing a critical role as the
18 detoxifying agent. The rapid generation of acetaldehyde
19 coupled with slow clearance can lead to increased levels
20 of acetaldehyde following vinyl acetate exposure. This
21 situation arises in individuals who are heterozygous or
22 homozygous for the rs671 polymorphism. And in turn
23 unmetabolized acetaldehyde can then form DNA adducts, DNA
24 protein crosslinks, reactive oxygen species and acetyl --
25 alkylated protein adducts.

1 This concludes the metabolism section and I'm now
2 turning the presentation over to Dr. Cheng who will
3 present the key characteristics for vinyl acetate.

4 [SLIDE CHANGE]

5 DR. VANESSA CHENG: Thank you, Dr. Ricker.

6 We organized the mechanistic data for vinyl
7 acetate by the 10 key characteristics of our carcinogens,
8 or KCs, that are used by IARC and NTP in their evaluations
9 of carcinogenicity evidence. The key characteristics were
10 identified by IARC based on a comprehensive review of
11 mechanistic information for known human carcinogens in
12 IARC Group 1.

13 As detailed in the HID, there is evidence on
14 vinyl acetate for three of the 10 KCs. Data from humans
15 and animals in vivo, animals and human cells in vitro, and
16 acellular systems were identified for some of these KCs.

17 A brief overview will be -- of each will be
18 presented. On the bottom left-hand side of each slide,
19 there is a reference to the section in the HID for each
20 KC.

21 [SLIDE CHANGE]

22 DR. VANESSA CHENG: Starting with KC1: is
23 electrophilic or can be metabolically activated. In rats
24 exposed to carbon-13 labeled vinyl acetate in vivo, the
25 labeled N2-ethyl-deoxyguanosine DNA adduct was found in

1 nasal respiratory and olfactory epithelia, as well as in
2 peripheral blood mononuclear cells.

3 As previously mentioned, acetaldehyde is a
4 metabolite of vinyl acetate. Acetaldehyde has been shown
5 to bind directly to DNA and can form DNA adducts, such as
6 N2-ethyl-2'-deoxyguanosine, 1, n-propano-deoxyguanosine,
7 and N2-ethano-2'-deoxyguanosine.

8 [SLIDE CHANGE]

9 DR. VANESSA CHENG: Next KC2: is genotoxic. Some
10 of the effects presented here were observed with
11 non-cytotoxic concentrations of vinyl acetate. For
12 detailed information, please see Tables 29 and 30 of the
13 HID.

14 A number of studies have reported increased
15 chromosomal effects of vinyl acetate. One human
16 observational study observed increased chromosomal
17 aberrations in lymphocytes of polyvinyl acetate
18 manufacturing workers. Several studies in both animals
19 and human cells in vitro have reported increases in
20 micronuclei, chromosomal aberrations, and sister chromatid
21 exchanges following vinyl acetate treatment. Sister
22 chromatid exchanges were also observed in animal studies
23 in vitro after vinyl acetate exposure.

24 There are also some data on vinyl acetate-induced
25 DNA damage. Vinyl acetate exposure induced DNA adduct

1 formation in the nasal epithelial and peripheral blood
2 mononuclear cells in in vivo animal studies. DNA
3 cross-links were observed in human leukocytes exposed to
4 vinyl acetate in vitro. DNA protein cross-links were
5 observed in rat nasal epithelial cells in vitro and an
6 acellular system using plasmid DNA and calf thymus
7 histones incubated with rat liver microsomes.

8 [SLIDE CHANGE]

9 DR. VANESSA CHENG: Continuing with evidence of
10 mutations. Vinyl acetate-induced mutations in human TK6
11 lymphoblastoid cells in vitro and mouse lymphoma cells
12 incubated with or without S9 fraction in vitro at the
13 thymidine kinase locus. No effects were observed at the
14 HPRT locus in human cells. In contrast, no mutagenic
15 activity of vinyl acetate was observed in tests conducted
16 in bacterial strains.

17 [SLIDE CHANGE]

18 DR. VANESSA CHENG: Lastly, there were some data
19 for KC10: alters cell proliferation, cell death or
20 nutrient supply. The data below are all from rodent
21 studies in vivo. These finding were observed alongside
22 neoplastic findings from the two-year carcinogenicity
23 studies that were previously presented by Dr. Li.
24 Increased cell proliferation was observed in male rats in
25 the nasal cavity epithelium, nasal olfactory epithelium,

1 and oral cavity maxillary mucosa. In male mice, increased
2 cell proliferation was observed in basal cells of the
3 mandibular oral cavity mucosa.

4 Studies in rats and mice have reported vinyl
5 acetate-induced hyperplasia in multiple organs. Basal
6 cell hyperpla -- excuse me, hyperplasia of the nose was
7 observed in male and female rats. Thyroid gland C-cell
8 hyperplasia and hyperplasia of the esophagus and stomach
9 were observed in female rats. In both male and female
10 mice, hyperplasia was observed in the tracheal epithelium,
11 submucosal gland, oral cavity, and esophagus. Dysplasia
12 is characterized as a disordered growth and abnormal
13 proliferation and is more advanced than hyperplasia.
14 Dysplasia was also observed in animals exposed to vinyl
15 acetate.

16 Squamous cell dysplasia of the esophagus was
17 observed in male and female mice while female offspring of
18 those exposed mice had dysplasia -- excuse me -- present
19 in the tongue, esophagus, and Zymbal gland.

20 [SLIDE CHANGE]

21 DR. VANESSA CHENG: I will now briefly highlight
22 shared tumor findings and genotoxic effects between vinyl
23 acetate and its key metabolite acetaldehyde.

24 [SLIDE CHANGE]

25 DR. VANESSA CHENG: I will start with shared

1 tumor findings -- or tumor sites. From inhalation
2 studies, nasal tumors were observed in rats exposed to
3 both chemicals. Laryngeal tumors were observed in rats
4 exposed to vinyl acetate, while these types of tumors were
5 observed in hamsters exposed to acetaldehyde.

6 From drinking water studies, hemolymphoreticular,
7 pancreatic, and mammary gland tumors were found in rats
8 exposed to either vinyl acetate or acetaldehyde. Most
9 tumor sites from acetaldehyde exposure overlapped with the
10 tumor sites induced by vinyl acetate. However, vinyl
11 acetate exposure targeted several other sites and organs
12 in the respiratory, digestive, and endocrine systems.

13 [SLIDE CHANGE]

14 DR. VANESSA CHENG: Vinyl acetate and
15 acetaldehyde also have some shared genotoxic effects.
16 First, both vinyl acetate and acetaldehyde produce
17 micronuclei, chromosomal aberrations, and sister chromatid
18 exchanges in rodents in vivo and human, and rodent cells
19 in vitro. Second, both chemicals produce the same type of
20 DNA adduct and form DNA crosslinks. Third, both induced
21 mutations at the thymidine kinase loci in humans and mouse
22 cells in vitro.

23 That concludes our presentation for today and
24 thank you for your attention.

25 CHAIR LOOMIS: Very good. Thanks to all the

1 staff members for that very helpful summary of the
2 evidence.

3 At this point, we have an opportunity for the
4 members of the Committee to ask questions of
5 clarification. And I'm going to take the Chair's
6 prerogative and begin by asking a couple of questions.

7 So we heard about the metabolism of vinyl acetate
8 to acetaldehyde. I have a question about the -- well, and
9 how that metabolism of acetaldehyde by ALDH2 varies
10 according to the polymorphism of that enzyme. How much
11 variation is there in the metabolism of vinyl acetate to
12 acetaldehyde by carboxylesterase? Do we have any
13 information about that?

14 DR. KARIN RICKER: Okay. We had -- I can answer.
15 We had no specific information on carboxylesterase as
16 there seem to be some indication that some may be causing
17 more activation of vinyl acetate. But I think others did
18 not confirm this, so it's unclear. And it's also unclear
19 which carboxylesterase exactly metabolizes the vinyl
20 acetate. It's either CS1 or 2, but we did not find a
21 clear evidence for either enzyme.

22 CHAIR LOOMIS: Thank you. One other question
23 really quickly. So describe the -- going back to your
24 description of the metabolism of vinyl acetate or --
25 sorry, acetaldehyde to its by-products. How much of that

1 evidence comes from studies of exposed humans?

2 DR. KARIN RICKER: Are you referring to DNA
3 adducts and reactive oxygen? I think -- I'll double
4 check, but I think it's primarily animal studies, but some
5 products were found in vivo.

6 CHAIR LOOMIS: Okay. Thanks. At this point,
7 we'll go around the Committee and see if there are other
8 questions. This configuration with all of us lined up in
9 a row is a bit awkward, so I may not see you, if you want
10 to speak. But it looks like Dr. Eastmond is making
11 motions there. We'll begin with you, Dave.

12 COMMITTEE MEMBER EASTMOND: Thank you and thank
13 you for a very nice presentation. Pretty comprehensive in
14 some aspects as well.

15 I do have a few questions. I guess the first one
16 relates to the genotoxicity. One of the things that's
17 intriguing for me is that -- so vinyl acetate was induced
18 micronuclei when administered by i.p. injection, but it
19 seemed like when administered by other routes, primarily
20 oral routes of exposure, they didn't see any micronuclei.
21 Any particular insights as to why that might be the case?

22 DR. MENG SUN: Looking at the data, I think even
23 for i.p. injection, there's an active study, so I'm not
24 sure if there's a route specificity there, but the
25 database is limited. There are not too many studies of

1 micronuclei in animals.

2 COMMITTEE MEMBER EASTMOND: I think there were
3 like three negatives or something like that. It was
4 enough. I mean obviously the data and the mechanisms,
5 there's quite a bit of evidence that it's genotoxic in
6 vitro and it makes sense, but I was just curious about
7 that.

8 Another point that was raised was just for my
9 information, and this is one of the public comments, was
10 they made an issue about the increase in tumors that were
11 seen were primarily at site of exposure or site of
12 contact. Does that make any difference in sort of the way
13 you assess the risks?

14 DR. MENG SUN: We actually have a backup slide to
15 show you whether -- which tumors were induced at the sites
16 distant from the site of entry, so I'd like to ask Kiana
17 to share the backup slides, if you want.

18 COMMITTEE MEMBER EASTMOND: No, I -- they
19 presented it, so it was okay. I mean, I'm just curious.
20 That was made as a -- an issue, but when you --
21 essentially, you have -- it doesn't have to be vinyl
22 acetate, any chemical, do you make a distinction when
23 you're doing the risk assessment whether it's at site of
24 exposure or a distal site.

25 DR. MENG SUN: I would say not at the step of the

1 hazard identification step.

2 COMMITTEE MEMBER EASTMOND: Okay. All right,
3 that's helpful.

4 I had one other that's kind of specific, but one
5 of the other public commenters, and maybe that will come
6 up, had comments about sort of study quality, and a lot of
7 these are older studies. But one in particular, they made
8 some comments about the Ramazzini Institute. And it was
9 my understanding that the questions with the Ramazzini
10 Institute were primarily on lymphohematopoietic tumors,
11 and that was pretty well limited, and the other ones
12 seemed to be fine. It was just an interpretation.

13 Does that seem to impact -- because these seem to
14 be done during that time frame. Is that -- was that taken
15 into consideration when you reviewed?

16 DR. MENG SUN: Yeah. We also have a backup
17 slide, if you have the time to look at it, but yeah, we
18 considered NTP and U.S. EPA's review, and Pathology
19 Working Group review of the Ramazzini studies. So among
20 the tumors as you've seen today, yeah, there is the -- a
21 hematolymphoid reticular tissue tumors in rats from the
22 Ramazzini studies. You could, you know, make your own
23 judgment on it. But yeah, U.S. EPA continued to consider
24 solid tumor findings from Ramazzini Institute to be
25 valuable and reliable. But yeah, they do consider the

1 lymphoma and leukemias of the respiratory tract to be
2 unreliable, based on the limited number of studies they
3 reviewed. They did not review the study for vinyl
4 acetate. But yeah, the study for vinyl acetate was
5 consid -- was conducted during the same time period at the
6 Ramazzini Institute.

7 COMMITTEE MEMBER EASTMOND: Okay. Thank you.
8 And I understand the work that was NTP's pathologists and
9 they're now pretty consistent, but that was during a
10 period of time there was some difference in gradation,
11 anyway.

12 And I think -- oh, one last thing, and this is
13 for the epidemiology on this recent study from 2024, which
14 was vinyl acetate exposures in Southern California. Do we
15 have an idea what were the sources were for that vinyl
16 acetate? This was a ecological study. They looked at
17 what would be the origins of that vinyl acetate? I mean,
18 if you don't know it, you don't -- it doesn't matter, but
19 I was just curious.

20 DR. GWENDOLYN OSBORNE: I don't think it's stated
21 in the paper what -- where the exposure is coming from,
22 but yeah.

23 COMMITTEE MEMBER EASTMOND: Okay. Well, thank
24 you.

25 DR. MARTHA SANDY: We would just assume it's

1 industrial, yeah.

2 COMMITTEE MEMBER STERN: Yeah, if I may comment
3 on that. And they do say in the paper that it's
4 industrial sources and water contamination, that they --
5 that's what they presume.

6 CHAIR LOOMIS: I think it's just measured in air
7 and the point sources are not specifically.

8 Okay. Dr. Eastmond, if you're finished, I think
9 what we'll do to make it easier on me --

10 COMMITTEE MEMBER EASTMOND: I'm done. Thanks.

11 CHAIR LOOMIS: You're not.

12 COMMITTEE MEMBER EASTMOND: Oh, I'm done.
13 Thanks.

14 CHAIR LOOMIS: You're done. Okay.

15 To make it easier on me, I think we'll just go
16 with the Committee members on my left, the audience's
17 right, first. So I'm going to look down there and see if
18 anybody else wants to ask a question?

19 COMMITTEE MEMBER WANG: I wanted to follow up on
20 the epidemiologic study. Can you comment on -- I mean,
21 none of these make -- none of these exposures happen in
22 isolation. So can you comment on the Heck et al. study
23 regarding the correlation with other exposures and how --
24 or any data they provided on sensitivity and specificity
25 of that exposure?

1 DR. GWENDOLYN OSBORNE: Yeah. They did look at
2 that. They did look at the correlations and they found
3 that vinyl acetate wasn't highly correlated with any of
4 the measured -- other measured air toxics. That R squared
5 ranged from 0.03 to 0.35. And that was with methyl
6 isobutyl ketone the higher one. So they did look at that,
7 yeah.

8 CHAIR LOOMIS: Others this way?

9 Jason.

10 COMMITTEE MEMBER BUSH: Thank you. Just follow
11 up on Dr. Loomis's comment regarding the ALDH
12 polymorphisms. Maybe in future HIDs, it would be helpful
13 to -- for the Committee if we -- if there is known
14 information on homologous enzymes in the animal models to
15 know whether there is a polymorphism there as well. I
16 mean, generally the animal models are going to be
17 out-crossed and back-crossed to ensure that they are, you
18 know, effectively wildtype. But there might be something
19 there that would give some further insight into some of
20 this -- the metabolic side of things. So maybe there is
21 information. Maybe it isn't known, but if there is, it
22 would be helpful, I think, if there was something to
23 correlate there.

24 Thank you.

25 DR. KARIN RICKER: We don't -- we didn't have

1 those specific data, but we include data on knockout mice
2 that are in the HID.

3 COMMITTEE MEMBER BUSH: Okay. Thank you.

4 CHAIR LOOMIS: Anyone else on that side?

5 Yes, Joe.

6 COMMITTEE MEMBER LANDOLPH: I very much enjoyed
7 your summarizing this plethora of data. I mean it is a
8 lot of data, so a lot of animal studies done, mouse and
9 rat, a lot of animal carcinogenicity studies' data, and
10 many of them are dose dependent and have some -- many have
11 trend effects as well.

12 So my occurrence in reading this large summary of
13 data is that there is a large positivity of information on
14 this compound. Am I missing something or is that the way
15 you see it too? I mean, I'm not seeing zeros most of the
16 time. I'm seeing positive studies. So does your team
17 feel that that is a correct interpretation of this data?

18 DR. MARTHA SANDY: So I'll take a stab at that,
19 Dr. Landolph. We have presented quite a bit of data.
20 You've seen many tables from the animal studies of tumor
21 incidences, but we will remind you that your Committee is
22 the State's qualified experts to make the final judgment,
23 so...

24 COMMITTEE MEMBER LANDOLPH: Well, that's true,
25 but I'm asking it, since you've lived with the data longer

1 than we have --

2 (Laughter).

3 CHAIR LOOMIS: I think you're putting them on the
4 spot a little bit.

5 COMMITTEE MEMBER LANDOLPH: I'm sorry, I couldn't
6 hear your comments down there.

7 CHAIR LOOMIS: They seem to be feeling like
8 you're putting them on the spot a bit here.

9 COMMITTEE MEMBER LANDOLPH: Well, I am, but not
10 in a -- not in a bad way.

11 (Laughter).

12 COMMITTEE MEMBER LANDOLPH: I'm just staying you
13 reviewed a lot of the data and I think I see a lot of
14 positivity in it and I was asking if that's what you saw
15 since you've lived with it for so long.

16 DR. MARTHA SANDY: I think we have seen quite a
17 bit of it.

18 COMMITTEE MEMBER LANDOLPH: Okay. That's all.
19 It's not a trick question. That's all I wanted to know.
20 Thank you very much.

21 (Laughter).

22 CHAIR LOOMIS: Okay. Dr. Crespi.

23 COMMITTEE MEMBER CRESPI: Yeah. I had a question
24 about it -- a detail from the Heck paper that I didn't
25 see, and I wondered if maybe the staff had seen it. And

1 that is the hazard ratios were for one interquartile range
2 increase in exposure. And it wasn't clear to me whether
3 they were using the same interquartile range for all of
4 their analyses or if that interquartile range was specific
5 to each -- to the analysis sample and their various
6 analyses. So I didn't see that in the paper, whether they
7 were specific about that. So I just wondered whether you
8 had seen that or might have insights.

9 DR. NEELA GUHA: Hi. My name is Neela Guha. I'm
10 a staff scientist with OEHHA. I'm looking at the Heck
11 paper currently under the methods section. And this is
12 what they say about the interquartile range. We employed
13 Cox proportional hazard models to assess time-dependent
14 air toxic exposures and evaluated its effects on breast
15 cancer risk per interquartile increase. So looking at
16 that, it looks chemical specific for each interquartile
17 increase, but that interpretation would be up to you.

18 COMMITTEE MEMBER CRESPI: Yeah, I assumed it
19 would be chemical specific. I just wondered whether it
20 was specific to the sample being analyzed in each of their
21 models. I don't think it's specified in the paper, so I
22 just wondered whether you might have seen that or had
23 insights.

24 DR. NEELA GUHA: I'll keep looking in the paper,
25 but I haven't seen anything.

1 COMMITTEE MEMBER CRESPI: Okay. Yeah. Thanks.

2 CHAIR LOOMIS: Yeah. I had a similar question
3 about that paper. Those odds ratios are rather high for
4 an environmental study and I find them hard to interpret
5 without knowing what the zero exposure level would be.

6 Now, going to my right. Yeah, first question.

7 COMMITTEE MEMBER ALEXANDROV: Hi there. Thank
8 you for the presentation. Excuse me, I'll have some
9 procedural questions just to -- because it's my first
10 meeting. One of the things was said here was that we need
11 to use generally accepted approaches. Some of those --
12 the studies we are reviewing are 30, 40 years old. Do we
13 consider generally accepted approaches at the time of the
14 study or generally accepted approaches at this moment?

15 DR. MENG SUN: I would say a well-conducted
16 animal carcinogenicity study, how you judge that have not
17 changed too much over the years. So if you have any
18 specific question to a study design for some of the older
19 studies, you can let us know and we could try to comment
20 on them.

21 COMMITTEE MEMBER ALEXANDROV: Okay. So we assume
22 current standards right, that this is not -- okay. And
23 I'll go over that in a second.

24 The other thing I wanted to ask is when you're
25 selecting the literature that you're reviewing, presumably

1 you've used predominantly peer-reviewed literature? And
2 the reason I'm asking also, in the Russian article
3 specifically, is there -- and there other articles in the
4 Russian that report out, but you have selected that
5 presumably because it was reviewed in an American journal?
6 Is that the case or do you have any specific -- for
7 foreign literature, do you have any specific set of
8 criteria to search for them?

9 DR. MENG SUN: I don't think we select a language
10 limitation when we're searching for articles, but we --
11 yeah, we are looking at peer-reviewed articles.

12 COMMITTEE MEMBER ALEXANDROV: Which are in things
13 by PubMed, right?

14 DR. MENG SUN: PubMed and some others. It's
15 detailed in our document.

16 COMMITTEE MEMBER ALEXANDROV: Okay. So my
17 question there was -- one of the -- well, there's a lot of
18 tables when we're talking about the mouse studies. And
19 there have been a lot of statistical tests that have been
20 done. And I was just wondering if one assumes that these
21 studies are independent shouldn't these p-values be
22 corrected for multiple hypothesis testing?

23 DR. MARTHA SANDY: The -- this is Martha Sandy.
24 The animal bioassays that -- the way they're analyzed by
25 the National Toxicology Program, and IARC, and other

1 authoritative bodies, it's -- the concept is you're
2 testing -- you're exposing animals and testing to see if
3 there's an increase in tumors. And it's -- there are no
4 corrections that are typically made. It's generally
5 accepted that you -- that's not the practice for analyzing
6 bioassays, data in general.

7 COMMITTEE MEMBER ALEXANDROV: But you mean that
8 within a study?

9 DR. MARTHA SANDY: Within a study, yeah.

10 COMMITTEE MEMBER ALEXANDROV: What about across
11 the studies?

12 DR. MARTHA SANDY: We -- each study is different
13 and -- you know, even the same strain of animal -- the
14 study conducted in a different laboratory, the conditions
15 are different, so we're looking at increases in the
16 treated versus the control, the concurrent control. And
17 we do not do corrections across studies that may have been
18 conducted at different points in time and different
19 laboratories.

20 COMMITTEE MEMBER ALEXANDROV: Okay. Okay. So
21 the other -- the other question I had was about the pathol
22 -- because a lot of this relies on pathology, the mouse or
23 rat, somebody has gone an visually reviewed the actual
24 slides. For these studies, do you know how many
25 pathologists have reviewed each one of the -- of the mouse

1 tumors to determine whether they're invasive or whether
2 they're adenomas.

3 DR. MENG SUN: Well, these studies are -- were
4 conducted in different institutes, right, some were in
5 Italy, some were in Japan, some in the U.S. So each
6 study's protocol may be different and we try to provide
7 that information if additional pathology review was
8 provided, other than the publication, so the situation
9 could be different for each study.

10 COMMITTEE MEMBER ALEXANDROV: I understand. And
11 when I was looking at the papers, there wasn't -- that
12 comes to mind, the generally accepted standards now versus
13 before, because most of those papers, at least when I was
14 looking at them, they did not provide that information.
15 And what I do, especially when it comes to the invasive,
16 because they will report a lot of premalignancies, a lot
17 of adenomas. And there is huge amount of disagreement
18 when you have esophageal squamous dysplasia, especially a
19 high grade dysplasia and esophageal squamous cell
20 carcinoma. You'll get five pathologists to review it,
21 three will say dysplasia, two will say it's squamous cell.
22 It's an invasive one. That's why I was wondering whether
23 there is any specific standard that was used.

24 Let me see. I suppose the last question I have
25 was about the epi study, the Heck et al. study, and the

1 question there was -- you showed that there was
2 correction -- there was correction for smoking. Was there
3 correction for alcohol consumption? I don't think there
4 was, but I...

5 DR. GWENDOLYN OSBORNE: Yeah. All models were
6 adjusted for a whole bunch of confounders. One of them is
7 alcohol use, non-drinker versus drinker.

8 COMMITTEE MEMBER ALEXANDROV: Okay.

9 DR. GWENDOLYN OSBORNE: Yeah.

10 COMMITTEE MEMBER ALEXANDROV: Okay. Thank you.

11 CHAIR LOOMIS: Neela, did you have something to
12 add to that?

13 DR. NEELA GUHA: In addition to alcohol use,
14 those models were adjusted for risk factors for breast
15 cancer, the typical risk factors, known risk factors to
16 breast cancer.

17 CHAIR LOOMIS: Okay. Continuing on this side.

18 COMMITTEE MEMBER McDONALD: I don't have any
19 questions of clarification for the staff.

20 CHAIR LOOMIS: Dr. Stern, questions.

21 COMMITTEE MEMBER STERN: I just have a very quick
22 question, which is more about notation. So I was
23 interested in -- when I look at the animal experiments I
24 was interested in looking at the data for mammary gland,
25 because of the Heck study. So you had it on your slide 20

1 the -- my understanding is that the notation you're using
2 is that the trend is significant because the p-value is
3 less than 0.05, but the actual number of tumors observed
4 at the high dose compared to the control is not
5 significant and that's why you didn't put an asterisk, is
6 that my understanding, correct? So significant trend, but
7 no significant com -- pairwise comparison. Okay.

8 DR. MENG SUN: That's correct.

9 COMMITTEE MEMBER STERN: Okay. Good. I just
10 wanted to understand that.

11 CHAIR LOOMIS: Okay. Thanks.

12 Now, we'll go to the two members joining online,
13 Dr. Besaratinia, questions?

14 Can't hear you. You're on mute.

15 COMMITTEE MEMBER BESARATINIA: Yes. Thank you
16 very much. Can you hear me?

17 CHAIR LOOMIS: Now, we can.

18 COMMITTEE MEMBER BESARATINIA: Okay. Thank you.

19 First of all, thank you to staff for this report.
20 It was quite informative. I have a few questions.
21 Firstly, with regard to the human study, the single human
22 study, which was also showcased this morning. I
23 understand that the exposure assessment was done using the
24 geocoding data and residential history in order to
25 evaluate the neighborhood air pollution level. And this

1 was done with a five-year lag time. Considering that
2 early life exposure to endocrine disruptors and mammary
3 gland carcinogen is known to result in breast cancer later
4 in life. Would you comment on that aspect?

5 DR. GWENDOLYN OSBORNE: Yeah, that is a weakness
6 of the studies that they only looked at it in that
7 specific period and not -- did not account for early life
8 exposures, but that's, you know, what they have.

9 COMMITTEE MEMBER BESARATINIA: Okay. And the
10 second thing is that if I got it correctly, they
11 exclusively looked at invasive breast cancer, am I right
12 on that?

13 DR. GWENDOLYN OSBORNE: Yes, and they excluded
14 ductal carcinoma situ I think.

15 COMMITTEE MEMBER BESARATINIA: Yeah. And
16 actually I want to underscore that fact, because DCIS --
17 quite significant portion of breast cancer cases are DCIS
18 up to 40 to 45 percent of DCIS advanced to invasive breast
19 cancer. And would you consider this also a major drawback
20 of this study that all the DCIS cases were excluded from
21 this study?

22 DR. GWENDOLYN OSBORNE: Yeah, they could be
23 missing some cases there. Yeah.

24 COMMITTEE MEMBER BESARATINIA: Right. And then
25 with regard to -- thank you for your response on the human

1 studies. I also wanted to see whether there is any
2 additional information with regard to the metabolic
3 pathway of vinyl acetate, since we have put so much
4 emphasis on the key metabolite of this compound acet --
5 acetaldehyde. I'm wondering, is it known what portion of
6 the vinyl acetate that is taken up by the cells, either in
7 vitro or in vivo experiments is converted to acetaldehyde
8 and subsequently results in DNA adduct formation or
9 DNA-protein crosslinks or reactive oxygen species versus
10 the fraction that is detoxified, for example, by
11 conjugating to reduce glutathione, GSH?

12 DR. KARIN RICKER: I think the glutathione
13 conjugation very little is known and we just had data from
14 some animal studies where they showed a decrease in the
15 GSH pool, so we don't have any quantitative data on that.

16 COMMITTEE MEMBER BESARATINIA: So proportionally,
17 we don't know whether the majority of the vinyl acetate is
18 going to turn into acetaldehyde or DNA or protein reactive
19 agent, or it can simply be detoxified and eliminated, is
20 that what you are saying?

21 DR. KARIN RICKER: Well, I said it depends on
22 your -- you know, the overall amount of vinyl acetate, and
23 also your endogenous levels of acetaldehyde, and the
24 functioning of your ALDH2 --

25 COMMITTEE MEMBER BESARATINIA: Okay.

1 DR. KARIN RICKER: -- how well that's tuned to
2 detoxify. And we have studies in animals that show if you
3 have a knockout gene or we have studies in some human
4 cells that show that acetaldehyde levels can increase
5 significantly if the ALDH2 is non-functioning.

6 DR. MENG SUN: If I may jump in.

7 COMMITTEE MEMBER BESARATINIA: Sure.

8 DR. MENG SUN: So in the KC1 and KC2 section of
9 the HID, we do introduce when a study tests animals or
10 cells with both vinyl acetate and acetaldehyde. And in
11 KC1, there are two studies in animals in vivo, where DNA
12 adducts were seen as low as 10 parts per million of vinyl
13 acetate. They also treated animals with acetaldehyde. So
14 if you want detailed information, quantitatively speaking,
15 you can look at the papers. The proportions may be
16 different depending on the study design and study
17 conditions. But in KC2, we also introduce whenever vinyl
18 acetate and acetaldehyde were both treated -- or both
19 used, so you can compare.

20 COMMITTEE MEMBER BESARATINIA: Okay. Thank you
21 for that note. My last question is with regard to the
22 animal studies. Could you please put in context these
23 doses that were tested in different animal models and kind
24 of let us know how they compared to doses to which humans
25 are exposed on a daily basis, particularly when we are

1 talking about inhalation experiment. I know earlier we
2 indicated that we are not particularly interested in the
3 dose, but I just wonder if this information is available
4 to be presented to the Committee at this moment or not.

5 COREY FRIEDMAN: I will let the scientists answer
6 the question to the extent they can, but just again, yes,
7 as far as not being as interested in it, just as a
8 reminder, it's hazard identification.

9 Thank you.

10 COMMITTEE MEMBER BESARATINIA: Yeah.

11 DR. MARTHA SANDY: As we look at the exposure
12 section, we -- of the document, we have discussed that
13 probably the most exposed folks are in the occupational
14 setting. And I don't think we have any recent information
15 on what those levels might be in the workplace.

16 COMMITTEE MEMBER BESARATINIA: Okay. Thank you.
17 That's all I have for now.

18 CHAIR LOOMIS: Okay. Thank you.

19 And let's go over to Dr. Felsher.

20 COMMITTEE MEMBER FELSHER: Thank you. Can you
21 hear me?

22 CHAIR LOOMIS: Yes.

23 COMMITTEE MEMBER FELSHER: Fantastic. Yeah. I
24 have a variety of questions, and a couple details probably
25 I could clarify. First, I think -- I want to compliment

1 the scientists in the group. I've read a lot of reports
2 like this. It was coherent, organized, very easy to read
3 and unusually concise for the amount of information. So I
4 found it a pleasure to read this report. So thank you.

5 I think the ALDH2 is really important. You
6 recognized it as being important. You mentioned it
7 throughout the report. There are a few things I think
8 that should be asked to clarify. One important thing is
9 because we know this is the enzyme that detoxifies perhaps
10 really the most important metabolite, the acetaldehyde.
11 Really, the question I have for you is to what extent did
12 you look to see the spectrum of tumors observed in people
13 mutant for this gene or the spectrum of tumors that we've
14 seen associated with vinyl acetate?

15 DR. MENG SUN: Unfortunately, we don't have any
16 direct evidence regarding vinyl acetate exposure in
17 conjunction with this polymorphism, ALDH2 and tumors.

18 COMMITTEE MEMBER FELSHER: Well, that was my
19 third question I was going to ask you. My first question
20 is if you just look at the tumors you saw in rats and
21 mice, to what extent does that phenocopy, the tumors you
22 see say in the mice that are knocked out, we all know --
23 you know that I've worked in animal models for decades. I
24 know you know that there are models. We know humans have
25 been studied for years with this mutation. I've been to

1 conferences just on ALDH2. There was one hosted at
2 Stanford that I spoke at, just a few years ago. To what
3 extent does it map? You might predict that if vinyl
4 acetate is a carcinogen, you would expect to see almost
5 the same spectrum of tumors you see in humans who have
6 this mutation or in mice who have this mutation. I'm just
7 asking you did you look?

8 DR. MARTHA SANDY: I don't think we have that
9 information at hand, no. And I think we have to consider
10 route of exposure and the metabolism -- intracellular
11 metabolism.

12 COMMITTEE MEMBER FELSHER: Sure.

13 DR. MARTHA SANDY: For each chemical that might
14 be metabolized to acetaldehyde.

15 COMMITTEE MEMBER FELSHER: That's a fair point.
16 The other thing I wondered is there a reported increased
17 risk of breast cancer in humans who have a mutation of
18 ALDH2. It's not as great as a relative risk as what Heck
19 reported. Probably you -- that would be the same answer.
20 It's not really an equivalence.

21 DR. MENG SUN: Yeah. In the HID, we do have a
22 paragraph talking about this polymorphism and people's
23 risk of cancer. But again, we don't have any data with
24 vinyl acetate exposure in humans, and this polymorphism
25 and risk of cancer.

1 COMMITTEE MEMBER FELSHER: We do know though that
2 the ALDH2 mutant is much more common amongst these Asians.
3 You highlighted that. In the study -- in the Heck study,
4 was there any effort to look to see if people of East
5 Asian descent had a higher relative risk? I mean the only
6 thing I could see is they looked at Japanese Americans.

7 DR. GWENDOLYN OSBORNE: Yeah. And I think the
8 paper said they attributed it more to like higher
9 exposures based on where you might live than, you know,
10 the actual like differences in genetic susceptibility.

11 COMMITTEE MEMBER FELSHER: For the human studies,
12 we talked about the lag time being five years. It is
13 confusing to me they chose that. Lag time also allows you
14 to look at latency. I -- what was the duration range they
15 felt people were exposed?

16 DR. NEELA GUHA: Let me check. I have that on a
17 backup slide.

18 COMMITTEE MEMBER FELSHER: I found it confusing
19 reading the Heck study to know what they thought the mean
20 duration of exposure they thought was.

21 DR. NEELA GUHA: Yeah. I'm looking through the
22 methods section of the paper again and just the years of
23 the NATA models that were taken. Geocoded addresses for
24 1998 to 2000 and 2001 to 2003 were then linked to the 1999
25 and 2002 NATA models, according to the 2000 census tracts.

1 So that's the information we have on that.

2 COMMITTEE MEMBER FELSHER: The thing is we'd like
3 to have an idea of what they thought for this
4 population -- what was the range of which they were
5 exposed to air that had chemicals in it, to know whether
6 or not there was sufficient time for latency from exposure
7 to having the disease cancer that uses that as a endpoint.

8 DR. NEELA GUHA: Yeah. I mean, you have the
9 information of when the exposure was assessed and you know
10 when the cancer time points occurred.

11 COMMITTEE MEMBER FELSHER: So I'm just asking,
12 can you -- what was the -- what's the time difference.
13 How many years were they assuming it took for breast
14 cancer to occur?

15 COMMITTEE MEMBER STERN: Can I provide a comment?
16 Is that okay for me to comment on this, at this point?

17 So the way the study is done as the team
18 explained is they geocoded their residential address with
19 a five-year lagging time, right, between the measure of
20 exposure and the incidence of a case. They also know --
21 they have information about residential history on these
22 participants, so they were able to classify participants
23 based on whether they had lived at that residential
24 address for most of the time, or as adults, or not. And
25 they did an analysis, a sensitivity analysis stratifying

1 patients -- participants based on whether they had moved
2 or not and they did not see differences.

3 So they did take into account whether patients
4 were -- had -- not patients, everyone had lived in that
5 address for the five years only that they had considered
6 or longer, or if they had moved earlier in their life and
7 they didn't see that that had a difference. So that may
8 be a. --

9 COMMITTEE MEMBER BESARATINIA: Mariana, if I may
10 say, I think what Dr. Felsner and both I were concerned
11 that we don't know how far back they went to assess
12 exposure and see the duration of time these individuals,
13 who were diagnosed with breast cancer were exposed to that
14 particular chemical. So that is quite vague. The
15 description in the article doesn't really clarify it.

16 COMMITTEE MEMBER STERN: Yeah. My understanding
17 is that they consider a minimum of five years.

18 COMMITTEE MEMBER BESARATINIA: Yeah, which would
19 be extremely --

20 COMMITTEE MEMBER STERN: So that's the minimum
21 done and it could be more.

22 COMMITTEE MEMBER FELSHER: Extremely short
23 latency.

24 COMMITTEE MEMBER BESARATINIA: Extremely short.
25 For any type of cancer that would be extremely short as

1 far as I know.

2 COMMITTEE MEMBER FELSHER: Yeah.

3 COMMITTEE MEMBER STERN: Yeah, but in that case,
4 you would expect that you would not see an association,
5 right, if it were too short.

6 COMMITTEE MEMBER BESARATINIA: And if you do see,
7 then you might think twice what this association is about.

8 COMMITTEE MEMBER FELSHER: I mean, it's possible
9 that we're not talking about tumor initiation. We're
10 talking about progression. And you're accelerating --
11 like you brought up thoughtfully DCIS, which is the
12 precursor to anywhere from a quarter to two --
13 three-quarters depending on which person's study you look
14 at. That's all -- that's all we're trying to get at is
15 that there's a loose end in terms. It would have been
16 nice to say on average, this is what we thought people
17 were exposed to polluted air to. The other -- the other
18 aspect of this is that the NATA data has been used by lots
19 of people and there -- and I know it was brought up. I
20 believe in the report there's a Niehoff study in 2019 that
21 came to a different conclusion.

22 It's also a very interesting paper using the data
23 in a different way. And it seems like the main difference
24 is the population was different. That this particular
25 study, the Heck study, focused on a focused population in

1 LA. But I wondered if you'd thought about -- because the
2 Niehoff study I don't believe specifically focused on
3 vinyl acetate. It focused on many other carcinogens.

4 CHAIR LOOMIS: So is this a clarifying question?
5 I feel like we're drifting a bit into discussion of causal
6 inference here and --

7 COMMITTEE MEMBER FELSHER: Sorry.

8 CHAIR LOOMIS: -- we don't need to go there just
9 yet. Other people are eager to jump in. I can see, but
10 maybe we'll -- we should hold that discussion until later.

11 COMMITTEE MEMBER FELSHER: I apologize for making
12 the discussion too broad. Those are the questions that I
13 had.

14 CHAIR LOOMIS: Okay. Neela, did you have
15 something to clarify there in response to those questions?

16 DR. NEELA GUHA: Yes. We just had an additional
17 point of clarification again looking at the paper. The
18 exposure lagging was presented for five years only, but it
19 was also conducted by 5, 10 and 15 years. And the NATA --
20 the exposure models were considered at the earliest time
21 period that the NATA estimates were available.

22 COMMITTEE MEMBER BESARATINIA: But they indicated
23 that the 10 and 15 years data were not sufficient for any
24 type of analysis. That's why they excluded and
25 exclusively used five years lag time.

1 DR. NEELA GUHA: That is correct. Those time
2 periods have sparse data.

3 COMMITTEE MEMBER BESARATINIA: Yes.

4 CHAIR LOOMIS: Okay. Any other questions from
5 the -- from you, Dr. Felsher, are you finished?

6 COMMITTEE MEMBER FELSHER: One quick question
7 about the animal studies. I wasn't clear. I think this
8 was -- this was kind of asked, but I wasn't clear how they
9 chose what doses to use. I have no problem with the
10 doses. I just wasn't sure how -- was it clear there's
11 some, because the doses -- the dose range that was
12 chosen was consistent amongst the different studies. Was
13 there -- was there a reason?

14 DR. MENG SUN: Again, these studies were
15 conducted by different institutes, but you can see studies
16 of the Ramazzini Institute probably chose consistent
17 dosing. They probably did previous short-term dose
18 finding studies, but I can't comment on all of them. In
19 the JBRC study, they typically include a short-term dose
20 finding study as well.

21 CHAIR LOOMIS: Okay. Let's do a quick survey of
22 the Committee and see if these discussions have brought up
23 any other questions of clarifications, but not yet
24 discussion on causal inference.

25 Dr. Crespi, you've got one.

1 COMMITTEE MEMBER CRESPI: I had a
2 clarification -- or informational question. So in the
3 Heck air pollution study, the cancer case ascertainment
4 was from the California Cancer Registry, I believe. And
5 is it -- ductal carcinoma in situ, is that reportable to
6 the California Cancer Registry or not?

7 CHAIR LOOMIS: Maybe while they're checking, we
8 can see if there are any other questions on --

9 COMMITTEE MEMBER STERN: It should be reportable.
10 It is -- if it's -- if it's cancer, it's reported as
11 localized.

12 COMMITTEE MEMBER CRESPI: Okay. Because I was
13 trying to determine whether it's reported and then the
14 authors chose to not include it as a cancer outcome in
15 this paper versus it wasn't available.

16 COMMITTEE MEMBER STERN: That's my understanding,
17 because based on my own experience working with the
18 California Cancer Registry, localized cancers are
19 reported.

20 COMMITTEE MEMBER CRESPI: Um-hmm. Okay. Thank
21 you.

22 CHAIR LOOMIS: Okay. Other questions on my left?
23 Anything else?

24 It doesn't look like it.

25 On the other side?

1 No.

2 All right. I'm going to recommend that we break
3 for lunch at this point. And when we do that, it's my
4 duty to remind you that even during the lunch break, we're
5 still governed by the Bagley-Keene Open Meetings Act, so
6 you are not allowed to discuss the subject matter of the
7 meeting among yourselves or to have phone calls, texts, or
8 other electronic communications about that. You're also
9 asked not to speak to third parties about the items under
10 discussion. But if you do so, you'll be asked to disclose
11 that and to describe the discussion that you had, so that
12 can be part of the public record. So bottom line, best
13 not to talk about it during lunch. Talk about, you know,
14 sports, or the weather, or whatever you like.

15 So with that, we will break for lunch for 45
16 minutes. And I think that means we come back at -- well,
17 let's say it's 12:40-ish, 12:40. Okay. That's when we'll
18 come back.

19 (Off record: 11:52 a.m.)

20 (Thereupon a lunch break was taken.)
21
22
23
24
25

AFTERNOON SESSION

(On record: 12:43 p.m.)

CHAIR LOOMIS: Okay. All right. That's better. They have changed out my microphone because I understood or the staff understood that people online were having trouble hearing me, so we'll try this. Let me know if it's working any better.

Okay. So at this point, we'll move to that part of the agenda, where we hear from those of us designated as initial discussants of the evidence. So we'll begin with the human evidence and hear first from Dr. Crespi and Dr. Stern on cancer studies in humans. Then Jason Bush and Tom McDonald on the animal cancer studies. And after that, Dr. Felsher and I will talk about pharmacokinetics and metabolism. And finally, Dr. Alexandrov and Dr. Wang on the key carcinogenics of -- key characteristics of carcinogens.

So Dr. Crespi, I think you're up first as initial discussant on cancer studies in humans.

COMMITTEE MEMBER CRESPI: Okay. Thank you.

Yeah. So -- well, the staff this morning had a very good summary of the -- well of the highlights of the epidemiological studies. So I don't want to repeat everything that they went over today. I don't think they talked much about the occupational exposure studies, but

1 there wasn't really much there. They were very, very
2 limited. So the study I think that is most relevant for
3 our deliberations is the Heck study of ambient air toxic
4 exposure in breast cancer, which I think has a number of
5 strengths -- notable strengths, including the study
6 population.

7 It was a large population-based prospective
8 cohort study -- the multi-ethnic cohort study. It's a
9 very well studied cohort with unlikely to have a lot of
10 selection bias associated with it. There -- sorry. I was
11 going to pull up my notes, so I'm a little behind on my
12 notes. Let me pull up my notes.

13 I think a few things to add, some things that
14 popped to my mind about the study as we were having some
15 discussions this morning. Some relevant facts about the
16 study is that they enrolled individuals when they're ages
17 45 to 75. So most of the women who were enrolled were
18 postmenopausal or close to menopause, which makes it
19 particularly well suited for studying postmenopausal
20 breast cancer, which was their outcome, which they
21 assessed. Another strength of the study was the inclusion
22 of the multiple ethnicities and they had a diversity of
23 socioeconomic status within the cohort. So I think their
24 study population was very well suited for the study.

25 They also had a very robust collection of

1 potential confounders and risk factors, lifestyle factors,
2 et cetera, that they collected by questionnaire. So it's
3 self-report, but a very good robust collection of
4 confounders that they adjusted for in their analyses. So
5 that's definitely a strength, and also their residential
6 histories. Having that available is also a great strength
7 of the study.

8 So, the exposure assessment, I think there were
9 some concerns or issues raised this morning about how
10 exposure was assessed at perhaps one or, I think it was,
11 two points in time like three years apart essentially in
12 the air toxics modeling. And that it wasn't -- the
13 exposure assessment wasn't assessing exposures that were
14 farther back in the life history of these individuals.
15 But I think that, you know, the epidemiological studies,
16 you have to take the data that's available. And these
17 data just aren't available going back into the early life
18 of these individuals.

19 So -- but I think we can take their exposure
20 assessments as a marker or an indicator of exposure,
21 rather than, you know, holding it to some high standard
22 that they -- we don't trust the study unless they have
23 exposure histories going far back. We just don't have the
24 luxury of that very often in epidemiological studies.

25 Let's see, some other points I wanted to make are

1 that the vinyl acetate -- I think it was mentioned it
2 wasn't highly correlated with any of the other studied
3 chemicals, so -- so we can't really associate those high
4 hazard ratios with the correlation with other chemicals
5 that were studied. So I think that, you know, my
6 assessment of that study is that it has -- it was a
7 well-conducted study with a lot of strengths. There's
8 some information that's missing that I wish was there.
9 So, it's not perfectly reported.

10 Some other things that I wish they had done.
11 Like I don't understand their increment that they use to
12 calculate the hazard ratio. It's not that clear to me,
13 but that hazard ratio is very high and it's high and
14 across all of their stratified analyses and all their
15 sensitivity analyses. And it's hard to think of some
16 source of bias or confounding that would create a hazard
17 ratio that high in this kind of a study. So, I guess that
18 I find the study is very informative to us in making our
19 decision here today. So that's my comments.

20 CHAIR LOOMIS: Okay. Thank you, Dr. Crespi.

21 Dr. Stern, anything to add?

22 COMMITTEE MEMBER STERN: Yeah. I share the same
23 comments as Dr. Crespi and I agree that the study from
24 multi-ethnic cohorts is very well designed and done. And,
25 you know, aside from doing an interventional study, which

1 would be the gold standard but would be unethical. I
2 think doing a prospective study like this one, a cohort
3 study, is really the gold standard in epidemiology. And I
4 think I share the same view as Dr. Crespi that I think of
5 the exposure here as an indicator of what the exposure
6 that they had likely before and the analysis they did
7 considering people that may have moved over their lifetime
8 I thought was very well thought out and kind of shows that
9 the findings remain the same.

10 So, I thought that they did a very good job of
11 considering all possible confounders. And the other
12 comment I want to make about this study is that the
13 limitation that they did not assess exposures earlier in
14 life or that there could be some residual confounding due
15 to other unmeasured factors would be non-differential,
16 meaning that it would affect everybody in the cohort. So
17 it means that when you're comparing the cases to the
18 controls, likely it is biasing the results towards the
19 null. So it's actually -- it may actually tell us that
20 the real estimated association may even be higher than
21 what we're seeing. So that's an important point to keep
22 in mind when we think about these kind of biases.

23 The other thing I want to mention is that they
24 did a pretty thorough job of looking at -- they considered
25 over a hundred and eighty potential agents that they could

1 measure. And they did a careful job of selecting those
2 that they thought could have a biological mechanism by
3 which they could lead to breast cancer and also that they
4 were prevalent in the LA basin. And that's how they ended
5 up with those 15, so -- and there's no -- and they did --
6 as the team shared before -- the OEHHA team shared before,
7 they look at correlations between these agents and there
8 really isn't a strong correlation between vinyl acetate
9 and the other 14 compounds that they looked at.

10 For the other compounds they didn't look at, they
11 didn't look at them, because they were not really
12 prevalent in the area. So I think that it's telling us
13 that it is unlikely that what we're seeing is led by
14 another compound that is commonly present in the LA basin,
15 since they pretty much consider, you know, a lot of other
16 compounds that were not included in the final analysis.
17 So I agree that this study is very well done and it gives
18 us very useful data.

19 I also wanted to make a very quick mention to
20 some of the studies from the occupational studies that
21 were not really discussed. And, you know, they were all
22 very small. The assessment was through occupational
23 records, so there's a lot of -- some issue with that. But
24 I wanted to mention one study that caught my attention,
25 which are the studies that were done on brain tumors,

1 because these studies were done by NIOSH, because there
2 was a report of a cluster of brain tumors among workers of
3 one particular factory.

4 So NIOSH did this study and it was very small.
5 They didn't really present estimates, but they did find
6 some evidence that there was higher levels of exposure
7 among those who had cancer compared to those who did not.
8 And then the company, Union Carbide Corporation, they
9 published an independent study reanalyzing the data from
10 the cohort, and they confirmed that for vinyl acetate,
11 there was an excess of exposure among the cases. And they
12 also did an independent case control study, where they
13 found that 60 percent of the cases were exposed to vinyl
14 acetate compared to 47 percent of controls, so it's about
15 a twofold increase.

16 They didn't present the estimates, but the OEHHA
17 team calculated them. So numbers are super small, but --
18 and the assessment, you know, there's some limitations
19 with the way that the assessment was done. But again, any
20 issues with the assessment would be non-differential.
21 They would affect everybody in that factory, not just the
22 people who developed the brain tumors. So again, they
23 probably are biasing the results towards the null. So I
24 thought it was worth mentioning that study, because it was
25 repeated by different teams, including the same company

1 where these workers had been diagnosed. And they confirm
2 that there seem to be an excess of exposure among those
3 with brain cancer. So I wanted to highlight that.

4 There was also another study done also with
5 occupational exposures that showed a positive association
6 with non-Hodgkin's lymphoma. Similar limitations,
7 occupational exposure measures through work records and
8 small numbers, but I think it was worth mentioning that.

9 So I'll stop here.

10 CHAIR LOOMIS: Very good. Thank you. This was,
11 for the benefit of the new members, a really good
12 illustration of how we like to do the discussion here.
13 With the initial discussion, we ask that they not read
14 from detailed notes verbatim, but give a quick summary of
15 their overall views of the evidence, with the second
16 discussant adding to that anything omitted or other
17 interpretations of the evidence.

18 So with that, let's move on to studies of animals
19 and Jason Bush.

20 COMMITTEE MEMBER BUSH: Thank you, Dr. Loomis.
21 Firstly, I'll start with comment and credit to Dr. Sandy
22 and Sun. I want to congratulate you on the quality of the
23 HID. Having reviewed these things now for over 10 years,
24 this was particularly sophisticated, so I very much
25 appreciate that. So kudos to your team for the effort,

1 the continued dedicated due diligence that you performed
2 in putting these things together.

3 Secondly, I have read the public comments from --
4 that were submitted, notably the Vinyl Acetate Council.
5 They have several compelling counterarguments that, you
6 know, do have merit. So I am considering those in my
7 determination.

8 Now, let's dig into it.

9 So I'm going to remind you we're dealing with 24
10 animal studies, so six rat and eight mice, and I'm going
11 to take a more macroview of -- I think the OEHHA team did
12 a good job digging into the data. I'm going to really
13 paraphrase in terms of my determination. So based on the
14 information provided in the animal studies, vinyl acetate
15 demonstrates a pattern of carcinogenicity across multiple
16 animal studies. And I'm coming to that based on six
17 points. It could be more, but I'll be quick.

18 Okay. First, statistically significant tumor
19 induction. Vinyl acetate exposure was associated with
20 significantly -- sorry, statistically significant
21 increases in various tumor types, including rare and
22 malignant tumors across multiple species, strains, sexes
23 and exposure methods. These include the squamous cell
24 carcinomas, adenomas, adenocarcinomas in diverse tissues.

25 Secondly, dose response relationship. Many

1 studies identified significant dose-related trends in
2 tumor incidences, strengthening the causal link between
3 vinyl acetate exposure and carcinogenic outcomes. There's
4 consistency across studies. Tumor formation was observed
5 in multiple animal models, rats and mice, under both
6 inhalation and the oral exposure conditions. This
7 consistency enhances the reliability of the findings in my
8 opinion.

9 And the relevance of rare tumors, the induction
10 of rare tumors, like the squamous cell carcinomas of the
11 forestomach, oral cavity, and esophagus is a notable
12 indication of some carcinogenic potential. I appreciated
13 the table related to the different plasias that you were
14 seeing in the data. And I think that adds a level of
15 credibility. We know that metaplasia, dysplasias,
16 hyperplasias are fairly good surrogates for the
17 carcinogenic potential. And so highlighting those, I
18 think is a particularly important aspect of the HID.

19 And then, of course, the issue that we've talked
20 about the acetaldehyde connection with vinyl acetate.
21 Yes, it's a complicated metabolism. Yes, there are other
22 things that contribute to endogenous levels of
23 acetaldehyde. Diet can be one of them. But that
24 connection I think is very strong evidence of why vinyl
25 acetate ought to be considered as a carcinogen.

1 And so in conclusion, weight of evidence from
2 these studies supports classifying vinyl acetate as a
3 carcinogen, in my opinion, due to its consistent
4 tumorigenic effects across multiple animal models and
5 exposure scenarios.

6 With that, I'll yield my time.

7 CHAIR LOOMIS: Thanks, Dr. Bush.

8 Dr. McDonald, it's over to you now.

9 COMMITTEE MEMBER McDONALD: Thank you very much.
10 Can you hear me fine or should I bring this closer?

11 CHAIR LOOMIS: Closer.

12 COMMITTEE MEMBER McDONALD: Okay. Once again,
13 thanks to the OEHHA staff. They've -- for pulling
14 together such a large amount of information on vinyl
15 acetate carcinogenicity. It always is an immense amount
16 of information that you compile. And I concur this was a
17 very well written report. Thank you.

18 I'd also like to thank the public comments. They
19 were also very informative and provided some points that
20 were not covered in the HID, and so I appreciated that.

21 I'm going to structure my remarks in the
22 following way. I want to first discuss the authoritative
23 body with respect to the animal calls. And then I'll go
24 into the studies themselves. Just -- I don't want to
25 rehash, but try to just highlight again the consistency

1 there.

2 And then I also want to spend some time
3 discussing the limitations and criticisms of the animal
4 studies, which reduce the impacts on what -- and I think
5 the Committee should all discuss that as well.

6 So let's start with IARC. We heard earlier today
7 that, you know, it's limited evidence, but that was based
8 on its transformation to the putative metabolite,
9 acetaldehyde, and they both, acetaldehyde and vinyl
10 acetate, cause nasal tumors. IARC covered eight
11 bioassays. But as you saw in the HID, we have 24. I
12 think also the HID stated that EPA hasn't looked at it,
13 NIOSH and NTP haven't formally looked it up, and FDA as
14 well. I did see in the Vinyl Acetate Council comments
15 that the FDA does permit the esterification of starch by
16 vinyl acetate. And so I assume that that's a reaction and
17 there's some monomer left, so that -- but that's not a
18 formal hazard ID.

19 Although not an official Prop 65 authoritative
20 body, the European Chemical Agency looked at this formally
21 in 2011. And I think it's worth noting that that
22 authoritative body stated that vinyl acetate was
23 carcinogenic in two animal species and in both sexes. It
24 also demonstrated in their opinion inhalation and oral
25 carcinogenicity. So I think that's worth noting here.

1 So let's first take a look at the cancers -- the
2 bioassays. I won't go into the detail, but I'd like to
3 just try to focus on consistency and then -- and then
4 criticism. I saw little evidence throughout that the
5 maximum tolerated dose was exceeded. This conclusion is
6 also consistent with a European Joint Research Centre.
7 They did a formal analysis and suggested that the MTDs
8 were not exceeded in these animal studies.

9 There is high non-linearity in the data. The
10 high dose group is often the only one that showed tumors.
11 And this may relate to the mechanism of action, which we
12 can talk about -- I'm sure we'll talk about later. So I
13 want to just briefly, you know, tick off some of the
14 findings in the studies. I don't want to rehash what's
15 been already presented, but I just wanted to talk about
16 the consistency.

17 In the inhalation studies in rats and mice, we
18 saw nasal tumors in the male rats and suggestive evidence
19 by statistical trend in the female rats. But there was
20 negative studies in the mice, but there was also
21 preneoplastic proliferation lesions in those studies, but
22 no tumors.

23 In the oral studies looking at juvenile dosing
24 through adulthood in rats, you know, there was the study
25 of Lijinsky and Reuber, and the males were not -- there

1 were no tumors there. But in the females, even though the
2 tumors were rare, these were endocrine carcinomas. They
3 were rare and statistically significant by trend, but
4 what's interesting is when you look at the author's
5 discussion of those, they were very large, and invasive,
6 and metastasized throughout the peritoneum. So this is a
7 very unusual finding.

8 Also, the studies from the Japanese Bioassay
9 Research Center showed the oral -- squamous cell
10 carcinomas. Minardi, you saw the forestomach carcinomas.
11 And then you had the oral studies where they started early
12 life and then all the way through adult dosing. The
13 studies by Bogdanffy et al. in rats were negative, but
14 there were two squamous cell carcinomas of the oral
15 cavity. And then the studies by Minardi and Belpoggi,
16 which these are the Ramazzini Institute studies, were all
17 positive showing statistically significant tumors by
18 pairwise and trend tests of the oral and lip, tongue,
19 forestomach, consistent all the way through. And then in
20 the Belpoggi females a uterine adenocarcinoma. So
21 consistency is what we're seeing here.

22 And then when we move to the oral studies in
23 mice, starting at juvenile all the way through adult
24 dosing, we see the same sort of thing, oral cavity,
25 esophagus, forestomach those point of contact tumors being

1 quite substantial. And then in the Maltoni Swiss mice, we
2 see the same sort of thing in males and females. The oral
3 cavity, the tongue, esophagus, forestomach, all those
4 point of contact sites.

5 I did want to spend a couple minutes talking
6 about the criticisms of the studies. You know, that all
7 sounds very, you know, powerful and consistent. There
8 were some discussions about the lifetime dosing and
9 natural -- watching the animals to a natural death.
10 Several studies specifically the Ramazzini Institute
11 studies did that where they have a protocol. That
12 protocol has been -- has been criticized by some, because
13 it can lead to high background incidences of spontaneous
14 tumors. However, for these studies of vinyl acetate, you
15 know, the tumor incidences in the controls were at or near
16 zero, so that really doesn't follow as a valid criticism
17 for these studies.

18 And we also -- (cleared throat) -- excuse me --
19 talked about the fact that the Ramazzini Institute studies
20 were suspect. You know, this would relate to the Maltoni
21 study, the Minardi study, the Belpoggi study. As noted,
22 the EPA IRIS Program has stopped using those studies for
23 its analysis. And the NTP has gone through an audit back
24 in 2011.

25 I read that audit report, and, you know, it is

1 around methanol, MTBE, ETBE and vinyl chloride. So it's
2 about the same era, but they're different chemistries.
3 But I was struck by the fact that NTP had -- was worried
4 about that both of the studies, there were chronic
5 inflammation in the nasal cavity, air canal, and trachea,
6 and lung indicating an infection of one of more
7 respiratory pathogens, chronic airway inflammation due to
8 mycoplasma pulmonis and perhaps other pathogens may have
9 led to differences in opinion in the responses. Malignant
10 lymphoma, which was mentioned, but also squamous cell
11 carcinoma, and osteosarcoma.

12 So anyway, it's interesting also that the
13 European Union when they analyzed acetaldehyde, there was
14 a study by Soffritti in 2002, the same era as these
15 studies, and the European Food Safety Administration took
16 that study off the table for its analysis, because they
17 were worried about this background infection rate.

18 So how should we view this criticism? For me,
19 you know, background information inflammation can clearly
20 drive the tumor responses that we're seeing. But, you
21 know, I don't agree that with the EU that we should
22 completely eliminate these from the analysis. The way I
23 look at these is the target tumors and the tumor types of
24 the Italian studies, the oral cancer studies are
25 reasonably consistent with those from the Japan and even

1 the U.S. studies. So, even if we put them as a supportive
2 evidence, I think the Ramazzini studies all point in the
3 same direction as the other studies. So I'm still on
4 board with that.

5 Then the final criticism is around the un -- the
6 instability of the dosing solutions. I don't think that's
7 really been discussed here. All of the studies started
8 with solutions that were of high purity, but all of them
9 were unstable, specifically the Lijinsky and Reuber, for
10 example, lost eight and a half percent, but from
11 volatilization by every day and the solutions were only
12 made twice weekly.

13 And the Umeda and the JBRC study, the Japanese
14 study, produced twice a week daily -- twice weekly dose
15 solutions, and they estimate somewhere between 70 and 80
16 percent of the vinyl acetate was lost to volatilization.

17 So, you know, it -- and then the Bogdanffy
18 studies in comparison made their solutions daily, so they
19 have less of a concern. But everybody is choosing the
20 best article as they go along.

21 I mean, for me, it creates some concern that you
22 don't know the exact dose, but, you know, and this tends
23 to just lower the overall dose to the animals and makes it
24 less likely to see a toxic effect. But admittedly, you
25 know, we still saw statistically significant induction of

1 malignant tumors even with the lower doses. So my bottom
2 line is that given the concern over the studies, I think
3 the overall picture is less strong, than we -- that we'd
4 take a first look. However, the totality of data, the
5 positive tumor findings seen in two species, even though
6 the studies have some concerns, there's overall
7 consistency that support a weight of evidence. And I
8 think we shouldn't forget that the tumors from
9 acetaldehyde overlap the same tumor types and tumor
10 locations overlap with vinyl acetate.

11 So I would conclude that vinyl acetate is clearly
12 shown to be an animal carcinogen, but I'll keep my mind
13 open to hear public comments and Committee discussion for
14 a final decision.

15 CHAIR LOOMIS: All right. Thanks to both of you.
16 We'll move on now to pharmacokinetics and metabolism. And
17 Dr. Felsher, you're up first on the agenda, so if you'd
18 give us your summary of the evidence as you see it.

19 COMMITTEE MEMBER FELSHER: Thank you. Oh, shoot.
20 It just freeze for you guys. Okay. Sorry it froze for a
21 second.

22 Thank you for the chance to talk. I think the
23 issues of the pharmacokinetics and metabolism were very
24 nicely and thoughtfully organized as presented to us
25 earlier today. There are basically four kinds of issues I

1 think that are worthy for our consideration. It's
2 important to consider the fact that we have an idea of how
3 this putative chemical carcinogen is bioactivated and how
4 it's metabolize and eliminated.

5 Certainly, there are considerations in terms of
6 how it gets distributed through the body and
7 considerations about whether or not it actual reaches
8 target organs of consideration of carcinogenesis. As had
9 been described already, it's clear that this is a chemical
10 that we have a pretty significant understanding of how it
11 gets distributed in the body. There's excellent studies
12 in animals in particular that have explored its ability to
13 be absorbed through multiple routes, including inhalation
14 and gastrointestinal, and through skin, in some
15 circumstances, exposure. Then it had already been
16 described.

17 We also have a good idea in cases where in animal
18 studies, in particular radioactive, vinyl acetate has been
19 used that -- an idea of where it actually physically gets
20 distributed into animals and the kinetics at which it gets
21 distributed. And those don't really support that the
22 chemical, when consumed or exposed through different
23 routes, is widely distributed through the body and it's
24 distributed to organs in which there is evidence for
25 carcinogenesis, as has been already summarized.

1 In particular, we've already heard a discussion
2 of how vinyl acetate gets bioactivated. And, in
3 particular, it's a chemical that gets metabolized to
4 acetaldehyde, that this is a very important, because of
5 course as we've just already been discussing, acetaldehyde
6 there's already significant evidence of its carcinogenic
7 properties. And we know that acetaldehyde is also further
8 metabolized by the ALDH2 gene. And we've discussed
9 already and heard how that's a very important way in which
10 this chemical is inactivated, and provides more
11 understanding in terms of the mechanism and ultimately how
12 it's eliminated to acetic acid and carbon dioxide and
13 released from the body.

14 One thing that perhaps hasn't been talked about,
15 in terms of aspects of how the chemical can be
16 bioactivated and contained within the body in a dangerous
17 way is that radioactive studies of vinyl acetate have also
18 been used to actually explore whether or not -- when it is
19 bioactivated to acetaldehyde it actually does form adducts
20 with other chemical compartments, most notably does it
21 form stable binding components with DNA. And there is
22 evidence that that occurs in these same studies that have
23 explored the pharmacokinetics and metabolism.

24 So I think on balance this study suggests that we
25 have a good understanding of how this chemical can get

1 taken into the humans or animals, and how it gets
2 biodistributed, and how it gets activated and how it gets
3 eliminated to provide us a framework for considering
4 whether or not the carcinogenesis that's been observed in
5 animal studies and has been associated in epidemiologic
6 studies is it consistent with what we understand about
7 this chemical.

8 One thing that we haven't discussed that may come
9 up in the discussion is that most of our understanding of
10 the carcinogenesis of this chemical is in its form of
11 acetaldehyde, but it isn't that there aren't studies
12 suggesting that vinyl acetate itself may, in some
13 circumstances, have some dangerous qualities, and that
14 perhaps something that is worthy of discussion.

15 So those are the comments that I was prepared to
16 provide to you. Thank you.

17 CHAIR LOOMIS: Very good. Thank you. Well, I'll
18 add a bit to that. So having heard from previous
19 discussants that there is evidence of carcinogenicity in
20 experimental animals and knowing that the human
21 epidemiological evidence is sparse at best in terms of
22 numbers of studies available anyway, I think that what you
23 make of the metabolism of vinyl acetate to acetaldehyde is
24 kind of a key piece of causal inference with respect to
25 this listing. And with that in mind, it may be helpful to

1 think a bit about previous Authoritative body evaluations
2 of acetaldehyde in vinyl acetate. We don't necessarily
3 have to follow those in this instance. But it is
4 interesting to note that when vinyl acetate was evaluated
5 by IARC in 1995, at that time, there was limited evidence
6 of carcinogenicity in animals, inadequate evidence in
7 humans, and yet, the working group made an inference,
8 based on studies of acetaldehyde in animals, that because
9 there's sufficient evidence of carcinogenicity of
10 acetaldehyde in animals at that time, and this was in
11 1987, I think, they upgraded the evaluation from what
12 would have been group 3 to 2B.

13 So, since that time, acetaldehyde was evaluated
14 again, also classified in Group 2B based on sufficient
15 evidence in animals and inadequate evidence in humans.
16 And then the next evaluation of acetaldehyde was really
17 interesting, because it was done in conjunction with
18 evaluating the carcinogenicity of alcoholic beverage
19 consumption. And so, in that case again, the IARC working
20 group inferred that because individuals with the ALDH2*2
21 allele produced more acetaldehyde and have higher
22 incidence of cancer than acetaldehyde, in conjunction with
23 alcoholic beverage consumption is carcinogenic to humans.

24 So that is a really important inference, but I
25 want to point out that, you know, it is an inference and

1 there isn't direct evidence in humans of a link between
2 production of acetaldehyde and development of cancer. And
3 so, I think you could look at this two ways. You could
4 say, well, you know, I'd really like to see that direct
5 evidence or you could think, well, this is the way science
6 works, isn't it, that we have this piece of evidence, this
7 piece of evidence, and another piece of evidence, and we
8 can make a link between those.

9 And I think -- I think that link is there and
10 that the evaluations that IARC working groups have made
11 over the years are kind of a guide to how you could
12 proceed through that inference. I think they were done
13 according to IARC's protocols. Again, we don't have to
14 follow those, but it is informative to know what they did.

15 One other thing I would add is that I think it's
16 important for this Committee to consider the evidence on
17 susceptible groups. And as we heard in the very helpful
18 presentation by the staff, there are at least a million
19 Californians who may have heightened susceptibility to the
20 potential carcinogenic effects of vinyl acetate, because
21 they possess that ALDH2*2 allele, that inactivates or
22 partially inactivates the metabolism of acetaldehyde.

23 (Noise in the background).

24 CHAIR LOOMIS: Well, that's a way to focus
25 attention. I think we'll wait for this to stop before we

1 move on.

2 Okay. That may be it.

3 Let's go on to key carcino -- key characteristics
4 of carcinogens. Dr. Alexandrov, you're up first on the
5 agenda.

6 COMMITTEE MEMBER ALEXANDROV: Thank you so much.
7 And again, I want to also thank the OEHHA staff for the
8 very well written report and the presentation.

9 (Noise in the background).

10 COMMITTEE MEMBER ALEXANDROV: So I will wait. So
11 when I come -- when it comes to the key carcinogens, there
12 were four key carcinogens that were presented, where
13 there's some evidence. I have to say from my perspective,
14 it was very refreshing to hear all the other Committee
15 members talking about the different evidence on the
16 different considerations.

17 So when it comes to the key characteristic of
18 carcinogens, just to remind you, the fact that something
19 has a characteristic of carcinogens does not mean it's a
20 carcinogen. When it comes to the key carcinogen --
21 carcinogen, one, it's electrophilic or can be
22 metabolically activated. When I also look at the
23 evidence, there were multiple papers that were supporting
24 that I felt that this was quite compelling. I think it
25 was quite clear.

1 But when we come to the key carcinogen -- key
2 characteristic of carcinogen 2, the genotoxicity, I
3 personally felt that the evidence there was a bit weaker.
4 When it comes to chromosomal effects in humans, that was
5 that was relying on one study from the Soviet Union in
6 Armenia, which was quite old. I have a number of concerns
7 about the -- the sample size was small, even though they
8 took a hundred cells per patient. And again, it didn't
9 seem particularly well conceived. And also, it was hard
10 to judge some of the corrections, if any, that were done,
11 whether the patient -- whether the individuals were age
12 match, sex match, tobacco smoke match, et cetera.

13 In contrast, when it comes to the micronuclei
14 formation and the sister chromatid results, there were
15 multiple studies that both in vitro and in vivo and I
16 personally found them very convincing. From my
17 perspective, it was very clear that we can see genotoxic
18 effects in experimental systems. The DNA damage was a bit
19 of a mixed bag. There were studies that were showing that
20 there is no DNA damage effect. There was crosslinks, but
21 not specific crosslinks being mentioned. I think it's
22 very clear that there is adduct formation, but whether
23 this adduct formation leads to somatic mutagenesis, and
24 whether that somatic mutagenesis is relevant to
25 carcinogenesis, that wasn't particularly clear.

1 And the last part of the genotoxicity is the
2 mutations. And again, I found there that the studies,
3 even though the most recent studies was in -- from 2013, I
4 believe it's about 10 years old, they were using reporter
5 genes. There wasn't that particularly strong evidence.
6 That wouldn't be the way one would conduct this study
7 today, if one conducts it. So when it comes to somatic
8 mutagenesis, I didn't find that there was sufficient
9 evidence there.

10 When it comes to the key characteristic of
11 carcinogen, the 10th one, alter proliferation, cell death
12 or nutrient supply, I thought that this was crystal clear,
13 at least from my perspective, that is very clearly cell
14 proliferation. The multiple in vitro -- or sorry, in vivo
15 studies show hyperplasia, they showed dysplasia. I
16 thought there was absolutely no concern there, that that's
17 a very, very clear good result to me.

18 What I worry and what I will express in the
19 discussion section is that being able to create this
20 dysplasia and hyperplasia may be confounding some of the
21 mouse results that exist. And that has been my main
22 concern, as part of the mouse studies, but we can discuss
23 that later.

24 This is the summary of my remarks. Thank you.

25 CHAIR LOOMIS: Thanks. Dr. Wang.

1 COMMITTEE MEMBER WANG: Thank you for that
2 summary. That was great. And I largely agree with those
3 comments. I'll just add a few things so my comments will
4 be brief. I agree that for the three that were considered
5 key characteristics and the Characteristic one that it is
6 electrophilic and particularly that it's metabolically
7 activated is -- I think that has been clearly stated,
8 based on the studies. And I found what was particularly
9 compelling is that these adducts are often identified in
10 the route of exposure, so in nasal, respiratory and
11 olfactory epithelial.

12 I want to point out that for KC2, I actually
13 found the data sound. I do want to remind the Panel that
14 genotoxicity was already supported by the IARC report in
15 1995 and -- but I do agree that there was new data that
16 was presented that were published in 2013. And those data
17 were a little bit not as robust. But overall, I found
18 that the -- I would agree with the original IARC report
19 that there were numerous studies on all of these genotoxic
20 effects that on the whole were consistent and robust.

21 And for the third charac -- Key Characteristic
22 10, I also agree that the data demonstrating cell
23 proliferation, hyperplasia and dysplasia are robust, and
24 also found in both the inhalation and oral exposure
25 routes.

1 CHAIR LOOMIS: Very good. Thanks to all the
2 Committee members for those helpful comments.

3 At this point, we will turn to public comments on
4 the vinyl acetate agenda item. And I will describe how
5 this works, while we look at a slide.

6 Sorry, I'm being reminded there's opportunity for
7 the Committee to say more things, if they want to. So,
8 I'll look on this side first, any other remarks?

9 Seeing nothing.

10 This side?

11 Yes, Dr. McDonald first.

12 COMMITTEE MEMBER McDONALD: Yeah. I just wanted
13 to ask if there was any discussion that needed to happen
14 around the endogenous or background adducts that seem to
15 be coming from foods and alcohol that are much higher than
16 what you get from -- that are very high. You'd have to
17 have pretty good vinyl acetate exposure to even reach
18 those background levels. Does anybody have an opinion or
19 a thought whether those are relevant in this discussion?

20 CHAIR LOOMIS: I think I made a note somewhere
21 that they probably were not relevant, but perhaps Dr.
22 Felsher could add to that.

23 COMMITTEE MEMBER FELSHER: I think it's a very
24 worthy comment to bring up and to discuss, because it is
25 often challenging, and particularly confusing to explain

1 to non-scientists why there would be circumstances where
2 we would not worry about the same chemicals when it's
3 endogenous versus when it's exogenous. But to my
4 interpretation, the simple answer would be that some of
5 these metabolites are made endogenously in the context
6 that there hasn't been reason to believe that they would
7 be in the same way dangerous simply because of some of the
8 mechanisms that we've been describing that have provided a
9 way to deal with them.

10 And so we can't think of them -- we have to think
11 of them -- the exposure in terms of context. We talked
12 about, for example, an association between exposure
13 through nasal and gastrointestinal exposure in cancer.
14 That's a context that would not be anticipated
15 biologically. But I think it's a -- I think you're
16 bringing a very thoughtful and complicated issue that's
17 worthy of the whole panel considering and discussing.
18 Thank you for the chance to make some comments.

19 CHAIR LOOMIS: I think my note about that
20 referred to epidemiologic studies primarily. And the idea
21 would be that unless that endogenous production was
22 associated with environmental exposure, it wouldn't affect
23 interpretation of the epidemiologic studies. I see Dr.
24 Eastmond also wants to make a comment and we'll turn it
25 over to him.

1 COMMITTEE MEMBER EASTMOND: I guess this is the
2 way I think of it. If I think of the dose to the
3 individual, and this is now exogenous. Now, we're talking
4 about ethanol alcohol consumption. The dose of
5 acetaldehyde has to be so much higher to those
6 individuals, because they're drinking gram levels of
7 alcohol, as compared to very low levels of vinyl acetate
8 by inhalation. So while there is an association between
9 alcohol consumption and breast cancer, it tends to be
10 relatively modest and happens with very high alcohol
11 consumption levels.

12 So it's hard for me to reconcile this sort of
13 ecological study, while the cohort study with vinyl
14 acetate where the doses tend to be very, very low versus
15 those seen with alcohol where there's many studies. So
16 those estimates are fairly precise. So I don't put as
17 much stock in that one epidemiological study, because of
18 the acetaldehyde relative levels, in my opinion.

19 COMMITTEE MEMBER EASTMOND: I hope that made
20 sense.

21 CHAIR LOOMIS: Yeah. Thanks. So is there
22 discussion by the Committee down here?

23 Dr. McDonald, yeah.

24 COMMITTEE MEMBER McDONALD: I have one more
25 question. Sorry. One thing that I haven't heard in the

1 discussion as it relates to the mechanism of toxicity,
2 especially as ATSDR describes it, that, you know, the
3 carboxylesterase reduces it to acetaldehyde an acetic
4 acid. And then at high doses, they suggest it is the
5 acetic acid which is creating a high -- highly acidic
6 tissue and that's what's driving proliferation. So it's
7 almost a threshold mechanism, but I don't know if that
8 also happens with acetaldehyde, because I know
9 acetaldehyde itself is then converted to acetic acid, but
10 I haven't heard that discussed in terms of importance to
11 the tumorigenicity and the neoplastic effects. Does --
12 can anybody speak to that?

13 CHAIR LOOMIS: Anyone.

14 COMMITTEE MEMBER EASTMOND: I believe that was
15 the hypothesis generated by Bogdanffy and colleagues. But
16 I don't know much more than that, as that was their
17 proposed mechanism for the effects they were seeing was
18 due to basically increased acidity proton generation.

19 DR. MENG SUN: May I say a few words regarding
20 acidification?

21 CHAIR LOOMIS: Yes.

22 DR. MENG SUNG: Yeah. To us, it remains a
23 hypothesis. As far as we know, there's no study studying
24 vinyl acetate decreasing intracellular pH and increasing
25 mitogenic cell proliferation. So it's a hypothesis, but

1 it has not been validated for vinyl acetate.

2 CHAIR LOOMIS: Any other Committee discussion,
3 members online?

4 COMMITTEE MEMBER WANG: I'm not sure if this is
5 the right forum, but I know we all discussed the
6 individual components separately, but at what point do we
7 try to synthesize them together? Because the one thing
8 that I -- I don't know. You can stop me if this is not
9 the right -- we have -- we're going to have a different
10 discussion, but I guess what I'm still having -- what I'm
11 trying to reconcile are the animal data versus the human
12 data, right?

13 So we won't see -- we see a threshold effect in
14 the animal data where it's only in the highest group. And
15 then the human data, the magnitudes of risk are just
16 astounding, and it's by increments. So I think that --
17 the question that was asked previously about what are
18 those increments is really important. So that's -- I just
19 want to bring that out.

20 CHAIR LOOMIS: Yeah. That's a really important
21 question. So let's hold that thought until after we hear
22 the public comments and we'll come back to that discussion
23 before we vote. Anything else from the Committee before
24 we move on?

25 Nothing this way.

1 Nothing that way.

2 Online?

3 Don't see anyone coming off mute. Okay. So now
4 it is that time for public comments and in a moment we're
5 going to see a slide on how to do this. But as a
6 reminder, if you're here in person and you wish to make an
7 oral comment, you're asked to fill out a blue comment
8 card. It looks like this. They're at the back of the
9 room and we'll call on those present to provide comments.
10 So, when you're called, please come to the microphone,
11 giving first your name and affiliation and then your
12 comment.

13 If you're joining virtually and make -- wish to
14 make a comment on Zoom, please use the raise-the-hand
15 function that you'd indicate that you'd like to speak.
16 And then when your name is called, you'll be prompted to
17 unmute yourself and do the same, state your name and
18 affiliation and give your comment. I'll remind you that
19 public comments are limited to five minutes. I have a
20 timer on the desk in front of me that will keep track of
21 your time. When you get to four minutes, if you're still
22 talking, I'm going to wave my hand at you, so that you can
23 wrap up. And we will terminate those comments after five
24 minutes.

25 So we'll go ahead and see if there are any

1 additional public comment cards. I have one on my desk.
2 Are there any more?

3 No. Okay. So let's go ahead with the comment
4 from Dr. Barranco.

5 DR. WADE BARRANCO: My name is Wade Barranco and
6 I'm a senior toxicologist at LyondellBasell Chemical
7 Company and serve as the Chair of the Vinyl Acetate
8 Council. The Vinyl Acetate Council has sponsored decades
9 of research and testing on vinyl acetate, including many
10 of the key studies addressed in the hazard identification
11 document. All of our research is published in
12 peer-reviewed literature journals. We appreciate that
13 you've reviewed our written comments and considered them
14 today.

15 We would like to reemphasize one key additional
16 point. As stated by ATSDR, the European Chemicals Agency
17 and Health Canada, tumor formation in animals following
18 vinyl acetate exposure is occurring only at high doses or
19 exposures. Thus, vinyl acetate should be considered a
20 threshold carcinogen assuming CIC judges it as such.

21 Thank you for the opportunity for providing a
22 comment today.

23 CHAIR LOOMIS: Thank you very much.

24 Are there any comments on Zoom?

25 No comments on Zoom.

1 Is there anyone else who'd like to provide a
2 comment from the public?

3 Okay. Hearing none. Does the Committee have any
4 questions of clarification for the public commenter for
5 Dr. Barranco?

6 No.

7 Okay. That means we move on to the Committee
8 discussion of the evidence. And what I might do, Dr.
9 Wang, since you raised the issue, do you want to bring
10 that up again and propose that we -- see if we can -- we
11 can help you figure out where we go with this?

12 COMMITTEE MEMBER WANG: My comment was on
13 reconciling the animal data with the human data, of which
14 we only have one, so it's a -- I mean, what the animal
15 data clearly show based on the nice presentation this
16 morning was that there is a clear threshold effect, where
17 you don't see any association or tumors until the very --
18 the highest quartile of exposure. Whereas, the single
19 human data that was provided, the hazard ratios are by
20 increment. And that was at a very high magnitude of risk.
21 So those two data don't seem to reconcile. And I just
22 wanted to try to understand or wrap my head around, you
23 know, how we consider the -- I think I -- what I consider
24 the wealth of animal study data and in vitro studies
25 compared to the single human study.

1 CHAIR LOOMIS: Well, that is a very important
2 question and reconciling those data streams is exactly
3 what we're about right now. I think I would add one thing
4 that might be helpful and that is that this is hazard
5 identification, so we don't really have to be concerned
6 with dose and trying to reconcile, you know, whether this
7 agent has a threshold or not. But let's see whether other
8 Committee members would like to jump in and try to deal
9 with that question or raise others.

10 Dr. Alexandrov.

11 COMMITTEE MEMBER ALEXANDROV: So I -- well, I do
12 have one question for the Committee first and then I have
13 a number of concerns related to them -- the animal
14 studies. But my question is about the epidemiological
15 study. This is a recent study published this year, right,
16 and it does report quite strong effect sizes. But when I
17 was reading it, and again I'm not an epidemiologist, I
18 wasn't sure to -- and I heard comments that this is a very
19 well conducted study. But one of the things that's -- I
20 found concerning is in the discussion they were talking
21 about breast cancer and the risk of air pollution, PM2.5
22 for example, and they said the evidence there is mixed.
23 We're going to -- and they cited five studies where they
24 had positive evidence, another five or six studies that
25 had negative evidence between breast cancer risk and

1 PM2.5.

2 What worried me is that one study -- I don't know
3 if we're five years in the future, whether there won't be
4 three studies that show the effect size and three studies
5 that are not showing it. And I don't know when a study is
6 too early to judge that it's conclusive being a single
7 study.

8 CHAIR LOOMIS: Good point. Anyone want to
9 address that concern or raise something else?

10 Dr. Eastmond, you're looking like you want to say
11 something.

12 COMMITTEE MEMBER EASTMOND: Just going to say in
13 the animal studies, many of those showed a positive trend.
14 And so that the effects weren't only seen at the highest
15 dose. No, a number of them, the effect was seen at the
16 highest dose only, but quite a few of them, there was
17 positive trends across doses. And I am confident when
18 OEHHA looks to evaluate dose response analysis, they'll
19 look at these issues.

20 But as the Chair indicated, largely, you know,
21 dose response is a separate step in the risk assessment
22 that happens after the hazard identification step. But I
23 think it's relevant to look at sort of those issues
24 related to threshold, et cetera, when OEHHA gets to it.

25 CHAIR LOOMIS: Other discussion?

1 Dr. Alexandrov again.

2 COMMITTEE MEMBER ALEXANDROV: Yeah. So I wanted
3 to bring the concern I had about the animal studies. So
4 at first when I read them, I said amazing, so much
5 supportive data. And then one thing that concerned me was
6 seeing the things in Table 8 that were shown about the
7 non-neoplastic preneoplastic lesions, which are very, very
8 clearly increasing. And they're increasing in much higher
9 rates than the neoplastic. You have one single, two,
10 three, whereas you're going to have 40, 50 preneoplastic
11 lesions. And what I am concerned about is my
12 understanding is our mandate is to use generally accepted
13 evidence to show that this leads to invasive cancer.

14 And my concern is that if we take that all the
15 experiments were done correctly, then you eventually have
16 a pathologist that's going to look at the lesion and is
17 going to judge that lesion, whether it's precancerous or
18 cancerous. And we know now because of the boom of digital
19 pathology, that human pathologists make mistakes. And
20 that mistakes is not trivial. That could be, you know, as
21 much as five to 10 percent for some common cancers. For
22 rare cancers that we mentioned multiple times, the
23 disagreement between pathologists is significant.

24 So what I got increasingly concerned is that,
25 yes, we see a lot of cell dysplasia. You see a lot of

1 hyperplasia. Is it possible that a lot of those invasive
2 tumors that we are seeing are just the pathologists making
3 a mistake and just misannotating something that's
4 precancerous. It hasn't invaded the base membrane to
5 something that's cancerous.

6 And none of those studies have had two
7 pathologists or a third adjudicating pathologist, which
8 would be, you know, the generally accepted standards at
9 least when you deal with human patients. So that has
10 been -- and when I started judging the animal studies from
11 that, is it possible there is a pathologist's error which
12 is 10 percent. You know, 10 percent of the preneoplastic
13 get classified as neoplastic or if it's cancerous, then
14 all of a sudden, I -- these things are not as conclusive.
15 And I don't know what my fellow Committee member think
16 about it. That was my main concern about all the animal
17 studies.

18 CHAIR LOOMIS: And so as I hear you raising a
19 concern about the animal studies and perhaps other
20 Committee members can help with this. Dr. Bush, I'm
21 looking at you or --

22 COMMITTEE MEMBER FELSHER: I certainly could have
23 some comments.

24 CHAIR LOOMIS: Okay.

25 COMMITTEE MEMBER FELSHER: So I think these are

1 all thoughtful comments. And certainly, you have to take
2 animal studies with the limitations of the studies.
3 Generally, animals metabolize carcinogens more, especially
4 alkylating agents. That's what's been reported. So
5 generally, animals don't live as long as humans. The
6 studies are much shorter. We know the general duration of
7 exposure is actually a much -- usually a much important
8 parameter for genotoxic agents than quantity.

9 There are issues in the difference of pathology
10 unequivocally. But I -- but I actually think, if
11 anything, the animal studies would underestimate effects.
12 And the fact that so many studies show that there's a
13 cause of cancer. If the question were being asked is can
14 this cause cancer? To me, it is quite compelling.
15 There's not one or two studies. There are multiple
16 studies done by different investigators. The cancers have
17 a logic to them. I agree that you -- that they can be
18 misdiagnosed as early lesions, but actually in a two-year
19 study, I suspect they're missing actually neoplastic
20 lesions, because you can't -- you certainly -- they
21 couldn't afford to do a whole body histological analysis
22 to find occult cancers.

23 And all of us would expect there to be
24 preneoplastic lesions in animals that whole bodies are
25 being exposed to a carcinogenic agent where the cancer

1 process will go through multiple steps. So I'd say the
2 evidence isn't perfect, but compared to other examples
3 I've seen, this is pretty good -- this is really good
4 evidence. There's lots of different studies.

5 The second consideration is I think it's -- you
6 have to be careful using animal studies to try to estimate
7 a hazard threshold for two basic reasons. The metabolism
8 is very different and the second reason is the numbers are
9 smaller. And it's very easy to -- there is a trend here,
10 but the reason I asked about the dosing was we didn't --
11 we really weren't given a range to know in animals what
12 was the expected range. They didn't -- of what makes
13 sense in terms of the metabolism. But what we -- we
14 did see some examples of a dose effect.

15 And when you're only talking about a hundred
16 animals -- I know we're not here to talk about hazard. We
17 all look at hazard, especially as -- when I'm wearing my
18 MD hat, I'm thinking about hazard. But we know that
19 when -- if we were going to talk about hazard, we're not
20 talking about hazards. We're trying to determine between
21 20 and 30 percent. An animal study with 50 mice, 100 mice
22 isn't going to detect a one percent hazard. It's
23 impossible.

24 But a one percent -- if we thought we were
25 talking about something that would be a one percent

1 hazard, that would be a huge hazard in terms of a
2 population. I do think that the question raised by, I
3 believe it was Dr. Wang in terms of the concern about the
4 epi versus the animal studies is disjoint. I think -- I
5 think it was you, Dr. Loomis, but it could have been
6 several people commented that the actual hazards seen in
7 our one epi study is high. It's a big number. It's
8 unusual to see such a high number where there are no other
9 studies, but I trust the colleagues who reviewed this,
10 their description that we -- there's not a reason that we
11 found that there's a flaw in the study. It's reasonable
12 to say other studies may not see the same amount of
13 hazard.

14 They try to give reasons in the study why they
15 saw perhaps more than other people had seen. So those are
16 my thoughts on the animal study. All important thoughts.
17 I certainly don't disagree the pathology can't be
18 confounded, but it could also be confounded both ways. I
19 don't think that we can easily say there's a threshold
20 here. I think it's thoughtful to remark that we don't see
21 a dramatic dose response, but there's some evidence of a
22 dose response. And I do think it's legitimate, but it
23 doesn't change the value that there's a disjoint between
24 the animal data and the amount of hazard we saw in this
25 one good epidemiologic study. Thank you for the chance to

1 share comments.

2 CHAIR LOOMIS: Yeah. Thanks, Dr. Felsher, and
3 let me add for the online participants, you know, I'm
4 trying to watch everybody in the room, as well as both of
5 you. And I may not notice your little red microphone
6 disappearing indicating you want to say something. So, if
7 you do want to say something and I don't notice, just
8 speak up, or wave your hand or something, and I'll get to
9 you.

10 I think we had more comments in the room.

11 Dr. Eastmond looks like he's -- has one.

12 COMMITTEE MEMBER EASTMOND: I always do.

13 One of the points in this sort of guidance
14 criteria that was discussed many years ago, about 20 years
15 ago actually, is that while the -- essentially the -- our
16 charge is to identify chemicals that cause cancer and
17 invasive cancer. If it's -- if we see a benign tumor of
18 the type that is known to progress to become invasive,
19 that is counted as the same. Usually, that's combined in
20 making that determination, so that it's a combination of
21 both benign and malignant oftentimes are used in making
22 decisions. I hope that's clear.

23 CHAIR LOOMIS: Okay. Thanks.

24 Further discussion?

25 Dr. Stern.

1 COMMITTEE MEMBER STERN: Yeah. I just wanted to
2 provide some additional insights or comments reflecting on
3 the literature provided and just to remind everyone that
4 even though we are focusing mostly on the study by Heck et
5 al. and the multi-ethnic cohort, because it's really the
6 most valuable informative study because of the size and
7 characteristics, all of the other five studies that were
8 all occupational cohort studies or case control studies
9 within cohorts, two of them -- one of them that I
10 mentioned before, a brain cancer study that was repeated a
11 few times, does show evidence of an association. And
12 there's the other one that shows evidence for association
13 for non-Hodgkin's lymphoma, so I think we shouldn't forget
14 that with all the caveats that were already made about the
15 way the exposure is measured. But again, in my view, such
16 misclassification, if anything, might be reducing the
17 estimates that we are seeing, not inflating them.

18 The other thought that I had when I review all
19 these materials is, you know, you're thinking about causal
20 inference, we tend to think about different aspects, one
21 of them being analogy biological plausibility. And with
22 all the discussion we had previously about acetaldehyde
23 and the comments that Dr. Loomis made, I really think
24 there's something important there to consider, given that
25 in particular for breast cancer alcohol is an established

1 risk factor. There's convincing evidence that alcohol
2 causes breast cancer and the main mechanism is supposed to
3 be through acetaldehyde.

4 So if we use that logic of analogy with this
5 compound that we've been discussing leads to the
6 accumulation of acetaldehyde and the carcinogenicity of
7 that, then that's something that makes me think that this
8 is something we need to worry about, even if as you are
9 talking about, this is one study, it's true, but it's a
10 well-designed cohort study, which we tend to consider our
11 gold standard in epidemiology. So, yes, it would be ideal
12 to have multiple cohort studies, so that we can see that
13 they all find the same, we don't have that, but we have
14 this one that we know we think is showing us an -- and I
15 agree with Dr. Felsner, we rarely see alteration of hazard
16 ratio of five with a dose response, which is another
17 criteria we use for causal inference, right. We want to
18 see a dose response.

19 So putting all that together, I think this is
20 concerning and we need to take this evidence pretty
21 seriously, I think, even though it's just one study,
22 right, which is not ideal.

23 CHAIR LOOMIS: Other comments.

24 Dr. Bush.

25 COMMITTEE MEMBER BUSH: Yeah. Thank you. And

1 maybe this might help rectify some of the information, Dr.
2 Wang, but you know -- and for our newer Committee members,
3 remember that our guidance criteria, as was laid out as
4 per statute, the weight of the scientific evidence clearly
5 shows that a certain chemical causes invasive cancer in
6 humans or that it causes invasive cancer in animals. So
7 just reminding you of that statute.

8 CHAIR LOOMIS: Thanks for that important
9 information.

10 I want to add to the discussion on causal
11 inference. Appreciate the comments from Dr. Stern. I
12 would also add that here we have compelling evidence of
13 carcinogenicity in animals. I would certainly like to see
14 more human epidemiologic evidence. But what we have is
15 reasonably consistent in spite of the small number of
16 studies. And then we have evidence that there are -- that
17 this agent shares several key carcinogenic characteristics
18 with other agents known to cause cancer.

19 So I think another causal criterion is coherence.
20 I think all the evidence hangs together and points in a
21 consistent direction suggesting that this agent also,
22 vinyl acetate, is carcinogenic to humans.

23 Further discussion from the Committee?

24 Yes, Dr. Landolph.

25 COMMITTEE MEMBER LANDOLPH: Thank you. Yeah, I'm

1 not particularly concerned that humans are more resistant.
2 I mean, they're more resistant to almost anything. And
3 this is undoubtedly why throughout evolution we've been
4 able to grow, you know, to roughly an 80-year lifespan.
5 And one of the reasons, among many, for that is that the
6 humans have a real resistance to maintaining chromosomal
7 integrity and chromosomal stability. Whereas, if you work
8 in cell culture, those of us know that there are like
9 orders of magnitude difference in the rate at which you
10 get chromosomal aberrations in mice versus humans. It's
11 extremely small in humans. You have to work extremely
12 hard to get it. So you would ex -- I would expect that it
13 would be more -- humans would be more resistant to many of
14 these agents than they are. And that's not a surprise.
15 That's a plethora of data that's grown up over the last 40
16 years or so more recently. So I wouldn't get hung up on
17 that at all.

18 CHAIR LOOMIS: Thank you.

19 Further discussion. Dr. Alexandrov again.

20 COMMITTEE MEMBER ALEXANDROV: Just one last thing
21 for me. I suppose I remain unconvinced that there is
22 convincing evidence for invasive tumors in mice. I think
23 that there is a high chance of pathologist mistake. But
24 one question I wanted to ask for colleagues who work on
25 mouse models, if there were invasive cancers -- and my

1 understanding, because most of those studies did not find
2 difference in mortality between the -- between the
3 controls and the exposed mice. If there were invasive
4 cancers, wouldn't one expect to see difference in
5 mortality?

6 CHAIR LOOMIS: Anyone care to answer that?

7 DR. MENG SUN: May I jump in regarding the --
8 your doubt on the pathology. So I do want to mention that
9 in the HID, we do mention that for the Bogdanffy and the
10 Lijinsky studies, independent pathology reviews were
11 conducted. So, they're in the table footnotes for these
12 studies. Yeah. No comment on the mortality.

13 CHAIR LOOMIS: I guess you don't get an answer to
14 that.

15 COMMITTEE MEMBER ALEXANDROV: That's fine.

16 CHAIR LOOMIS: Are there any other issues the
17 Committee would like to bring up before we vote?

18 This way.

19 That way.

20 Online?

21 I don't see anything.

22 So I'm going to propose that we move to the vote
23 now, unless there are any objections?

24 Hearing and seeing none, let's go ahead and do
25 that. And so for the vote, we have to consider the

1 following formal question. Has vinyl acetate been clearly
2 shown through scientifically valid testing, according to
3 generally accepted principles to cause cancer?

4 So I'll now call each of your names and ask you
5 to vote yes, no or abstain. And we'll go in Alex -- in
6 alphabetical order, beginning with Dr. Alexandrov

7 COMMITTEE MEMBER ALEXANDROV: Yes.

8 CHAIR LOOMIS: Dr. Besaratinia?

9 COMMITTEE MEMBER BESARATINIA: Based on the
10 totality of evidence, I would say the epidemiologic data
11 and human studies are inadequate, particularly the study
12 which was discussed -- the latest study by Heck, there are
13 major drawbacks in that study, which gives me pause
14 reading the conclusion. Having said that, the animal
15 studies, although have their limitation as was also
16 discussed by panel, they show consistency in results. And
17 we see positive results in different strains of rodents,
18 both mouse and rats, different genders. What is
19 particularly important is the rarity of tumor incidence in
20 a majority of those animal studies together with in vitro
21 data.

22 And with the KC finding two out of three, I think
23 KC1 and KC10, which made a good case, I would stay with
24 the conclusion of the IARC that was a classification as a
25 group 2B carcinogen. But since we don't have that luxury

1 to specify it, my vote would be yes.

2 CHAIR LOOMIS: Okay.

3 ACTING DIRECTOR EDWARDS: Just a quick
4 clarification. If we can limit the responses to yes, no
5 or abstain, that would be great. Thank you.

6 CHAIR LOOMIS: Thank you. Yeah. Okay. So that
7 was a yes vote.

8 Dr. Bush how do you vote?

9 COMMITTEE MEMBER BUSH: Yes.

10 CHAIR LOOMIS: Dr. Crespi, your vote?

11 COMMITTEE MEMBER CRESPI: Yes.

12 CHAIR LOOMIS: Dr. Eastmond?

13 COMMITTEE MEMBER EASTMOND: Yes.

14 CHAIR LOOMIS: Dr. Felsher?

15 COMMITTEE MEMBER FELSHER: Yes.

16 CHAIR LOOMIS: Dr. Landolph?

17 COMMITTEE MEMBER LANDOLPH: Yes.

18 CHAIR LOOMIS: I vote yes.

19 Dr. McDonald?

20 COMMITTEE MEMBER McDONALD: Yes.

21 CHAIR LOOMIS: Dr. Stern?

22 COMMITTEE MEMBER STERN: Yes.

23 CHAIR LOOMIS: Dr. Wang?

24 COMMITTEE MEMBER WANG: Yes.

25 CHAIR LOOMIS: Very good. Six votes are required

1 to add a chemical to the list. And we now have a
2 unanimous vote in favor of listing, so the chemical will
3 be added.

4 I don't think we need to tally them up.

5 The agenda says we can break here for 15 minutes,
6 so let's do that and return at 2:15.

7 (Off record: 2:02 p.m.)

8 (Thereupon a recess was taken.)

9 (On record: 2:16 p.m.)

10 CHAIR LOOMIS: Let's try to reconvene the
11 Committee here please. If you're all in the room, please
12 take your seats. It is 2:15 and we're going to try to
13 wrap up.

14 Okay. Everyone is here or nearly, so, so we will
15 take up the third item. That is the consent item updating
16 the California Code of Regulations Title 27, section 27000
17 list of chemicals, which have not been adequately tested
18 as required. So we'll take that now. The Committee is
19 being asked to affirm changes in response to submissions
20 from the U.S. EPA's Office of Pollution Prevention and
21 Toxics. The California Department of Pesticide Regulation
22 and EPA's Office of Pesticide Programs have indicated
23 there are no changes. So this consideration is a
24 ministerial duty of the Committee. We rely on information
25 provided to OEHHA by the Department of Pesticide

1 Regulation and U.S. EPA in order to identify the chemicals
2 that need to be added or removed from the Section 27000
3 list.

4 And so to move on to that item, I invite
5 Environmental Scientist and the Implementation Committee,
6 Kiana Vaghefi to give the staff presentation.

7 (Slide presentation).

8 KIANA VAGHEFI: Hi, everyone. Oh, perfect.
9 Thank you, Dr. Loomis.

10 Proposition 65 requires the State to publish and
11 update annually a list of chemicals that are required to
12 be tested under State or federal law for carcinogenicity
13 or reproductive toxicity that have not yet been adequately
14 tested as required. This list can be found in Title 27,
15 Section 27000 of the California Code of Regulations, and
16 is commonly referred to as the Section 27000 list.

17 It is separate and distinct from the Proposition
18 65 list of chemicals known to cause cancer or reproductive
19 toxicity. The Section 27000 list has no regulatory
20 impact. It does not require that any testing be done.
21 Rather, it is a source of information concerning chemicals
22 that need further testing pursuant to State or federal
23 law.

24 To update the list, OEHHA requests information
25 from the California Department of Pesticide Regulation and

1 the U.S. Environmental Protection Agency's Office of
2 Pollution Prevention and Toxics, and the Office of
3 Pesticide Programs. OEHHA staff reviewed these responses
4 and identified one recommended change to the Section 27000
5 list, addition of
6 2,2,3-trifluoro-3-(trifluoromethyl)oxirane, also known as
7 hexafluoropropylene oxide, or HFPO. Based on information
8 received from U.S. EPA's OPPT, further carcinogenicity,
9 reproductive toxicity, and developmental toxicity testing
10 are required.

11 The letter from OPPT, along with additional
12 background, response letters from DPR and OPP, and a mock
13 up of the proposed changes are available in the staff
14 report provided to the Committee and posted online on
15 November 27th. The proposed change is also shown on the
16 slide.

17 As Dr. Loomis mentioned, this is a consent item
18 and a ministerial duty of the Committee, in that the
19 DARTIC and CIC committees use the information provided by
20 DPR and U.S. EPA to identify the chemicals that need to be
21 added or -- added to or removed from the Section 27000
22 list. We ask the Committee members to vote in favor of
23 the proposed change, so OEHHA can update the list.

24 And I'll turn it back over to Dr. Loomis and
25 we're happy to take any questions.

1 Thank you

2 CHAIR LOOMIS: Are there any questions of
3 clarifications from the Committee? I'll remind you that
4 this is a consent item, so we don't need discussion, but
5 for your information. Is there anything?

6 Nothing that way.

7 Nothing that way.

8 Online, nothing.

9 Since there are no questions, we'll turn to the
10 vote. The question before us is should Section 27000 of
11 Title 27 of the California Code of Regulation be amended
12 as indicated in the staff report? I'll now call your
13 names and ask you to vote yes or no. Again, as with the
14 vote on listing, this is simply yes, no or abstain vote,
15 without justification or explanation of why you're voting
16 as you are.

17 Dr. Alexandrov?

18 COMMITTEE MEMBER ALEXANDROV: Yes.

19 CHAIR LOOMIS: Dr. Besaratinia?

20 COMMITTEE MEMBER BESARATINIA: Yes.

21 CHAIR LOOMIS: Dr. Bush?

22 COMMITTEE MEMBER BUSH: Yes.

23 CHAIR LOOMIS: Dr. Crespi?

24 COMMITTEE MEMBER CRESPI: Yes.

25 CHAIR LOOMIS: Dr. Eastmond?

1 COMMITTEE MEMBER EASTMOND: Yes.

2 CHAIR LOOMIS: Dr. Felsher?

3 COMMITTEE MEMBER FELSHER: Yes.

4 CHAIR LOOMIS: Dr. Landolph?

5 COMMITTEE MEMBER LANDOLPH: Yes.

6 CHAIR LOOMIS: I vote yes.

7 Dr. McDonald?

8 COMMITTEE MEMBER McDONALD: Yes.

9 CHAIR LOOMIS: Dr. Stern?

10 COMMITTEE MEMBER STERN: Yes.

11 CHAIR LOOMIS: Dr. Wang?

12 COMMITTEE MEMBER WANG: Yes.

13 CHAIR LOOMIS: Very good.

14 Unanimous again. The change is affirmed.

15 So the next item is staff updates. That will
16 provide current information on Proposition 65 listings,
17 regulations and litigation that have taken place since the
18 last meeting. Again, Kiana will present those listings
19 and safe harbor levels.

20 (Slide presentation).

21 KIANA VAGHEFI: Hi, again. Thank you, Dr.
22 Loomis. I'll be providing you with an update on important
23 Proposition 65 developments since the last CIC Committee
24 meeting. I'll start by going over the chemicals or
25 endpoints to be listed or under consideration for

1 potential listing. Then I'll review the proposed safe
2 harbor levels. After that, I'll turn it over to our
3 counsel, Corey Friedman, to provide a brief update on
4 other regulatory actions.

5 [SLIDE CHANGE]

6 KIANA VAGHEFI: Last week, the Developmental and
7 Reproductive Identification Committee considered listing
8 BPS for male reproductive toxicity. The DARTIC
9 unanimously voted yes on the question, has Bisphenol S
10 been clearly shown through scientifically valid testing,
11 according to generally accepted principles to cause
12 reproductive toxicity based on male reproductive toxicity.
13 And so the male reproductive endpoint will be added to the
14 listing of this chemical.

15 In December of last year, BPS was added to the
16 Proposition 65 list for reproductive toxicity based on the
17 female reproductive endpoint.

18 [SLIDE CHANGE]

19 KIANA VAGHEFI: BPS remains under consideration
20 for listing as causing developmental reproductive
21 toxicity. Information from the BPS data call-in will be
22 used in preparation of a hazard identification document
23 for a future DARTIC meeting on this endpoint. And
24 recently, OEHHA issued a data call-in on
25 n-methyl-n-formylhydrazine to solicit information related

1 to its carcinogenicity. The comment period ends January
2 10th, 2025.

3 [SLIDE CHANGE]

4 KIANA VAGHEFI: Since the Committee's last
5 meeting, we propose to adopt a no significant risk level
6 for exposure to titanium dioxide airborne unbound
7 particles of respirable size, where both of the following
8 intake levels must be met, 440 micrograms per day for
9 airborne unbound titanium dioxide particles with diameters
10 of 10 micrometers or less and 44 micrograms per day for
11 airborne unbound titanium dioxide particles with diameters
12 of 0.8 micrometers or less. We're still in the regulatory
13 process for this proposal.

14 And now, I will turn things over to Corey.

15 COREY FRIEDMAN: First of all, thank you all for
16 your patience with the construction sound effects earlier.

17 Hopefully, that won't interrupt this. But if it
18 does, thank you, all.

19 [SLIDE CHANGE]

20 COREY FRIEDMAN: Okay. So first in October,
21 OEHHA finalized regulations to provide an additional safe
22 harbor warning option for businesses that cause
23 significant exposure to acrylamide from foods.

24 Second, in February, we at our -- at your last
25 meeting, we told you about a proposed rulemaking that

1 amended existing regulations and added some new sections
2 to the Safe Harbor Warning regulations. This proposal has
3 been finalized and approved. It amends the sections
4 visible on that slide there. And that takes effect on
5 January 1, although there is a three-year implementation
6 period before it's fully effective.

7 These regulations make the short-form warning
8 more informative to consumers by requiring at least one
9 chemical name in a short-form warning. So to give an
10 example, instead of just saying, "Warning, Cancer", the
11 short-form warning would say something like, "Warning, can
12 expose you to formaldehyde a carcinogen," and then the
13 website where people can learn more.

14 The regulations also provide additional warning
15 content options for businesses to select from and provides
16 businesses that currently rely on the existing short-form
17 warnings three years during that phase-in period to
18 transition to the new safe harbor warning content for
19 short forms. The regulations make explicit that
20 short-form warnings may be used to provide safe harbor
21 warnings for food products, provide a 60-day transition
22 period during that three-year implementation period for
23 retailers to give them 60 days to update online short-form
24 warnings when they get notice from a manufacturer that the
25 new short form is -- they've updated to the new short-form

1 warning. This regulation package also provides new
2 tailored safe harbor warnings for passenger or off-highway
3 motor vehicle parts and recreational marine vessel parts.

4 So I'm next going to talk about some of the
5 significant litigation.

6 [SLIDE CHANGE]

7 COREY FRIEDMAN: So, I mentioned the safe harbor
8 Warning for acrylamide in food that is relevant to ongoing
9 litigation which existing members, who are not new to this
10 meeting heard about previously.

11 California Chamber of Commerce versus Bonta
12 involves a first amendment challenge. For the new
13 members, in recent years, there have been several
14 challenges to the Prop 65 Safe Harbor Warning content.
15 The general argument is that they violate businesses'
16 First Amendment rights. This case, Chamber of Commerce,
17 is currently proceeding. There is a preliminary
18 injunction in place, which prevents enforcement of the
19 warning requirement for acrylamide in food. This Chamber
20 of Commerce has filed a summary judgment motion, which if
21 successful, would result in a permanent injunction. And
22 the hearing for that motion is scheduled for January 23rd.
23 So the next time this Committee meets, we should -- we may
24 have the results on that or at least on the summary
25 judgment motion. If the summary judgment motion is not

1 successful, the case continues to trial.

2 In Personal Care Products Council versus Bonta,
3 this concerns titanium dioxide airborne unbound particles
4 of respirable size, in that the Personal Care Products
5 Council filed a First Amendment challenge to warnings for
6 that listed chemical for cosmetic and personal care
7 products. In that case also, a temporary injunction is in
8 place and a summary judgment has been filed, but there's
9 no hearing date for that motion, so the court could rule
10 at any time.

11 And then in Physicians Committee for Responsible
12 Medicine versus Newsom, a challenge is pending to the
13 decision not to list processed meats as a carcinogen.
14 Processed meats was the subject of an IARC monograph, but
15 OEHHA has not listed it. And that case is still pending
16 in Sacramento Superior Court, but I don't have any
17 significant developments to report. We do not have a
18 hearing date in that matter.

19 Just to let you know, outside of the Proposition
20 65 context, businesses are challenging government mandated
21 disclosures in other contexts. So it is possible that
22 the -- that decisions that are not about Prop 65 in
23 particular could have an effect on California's ability to
24 require Proposition 65 warnings, particularly in consumer
25 products. Does anyone have any questions about those

1 updates?

2 COMMITTEE MEMBER McDONALD: Can you tell me --
3 say again what you just said about the last point of a
4 possible pre-exemption or exemption? What was your last
5 point you made?

6 COREY FRIEDMAN: Oh, just that First Amendment
7 questions and the extent to which government entities can
8 require business disclosures. That issue is being
9 litigated in context outside of Proposition 65. And so
10 it's possible that a decision could be issued in a
11 non-Proposition 65 case that would also have an effect on
12 the ability of California to mandate Proposition 65
13 warnings for listed chemicals.

14 COMMITTEE MEMBER McDONALD: Thank you.

15 CHAIR LOOMIS: So where is that litigation in the
16 federal court system now?

17 COREY FRIEDMAN: Well, the two cases that I
18 mentioned are at -- currently at the trial level. There
19 have been, in the past, appellate decisions, that have
20 been reported to this Committee. But those two cases are
21 at the trial level.

22 CHAIR LOOMIS: So which court are they in?

23 COREY FRIEDMAN: Oh, which district?

24 CHAIR LOOMIS: District.

25 COREY FRIEDMAN: I can get you that information,

1 but I'm afraid I don't remember --

2 CHAIR LOOMIS: That's okay. It's --

3 COREY FRIEDMAN: -- off the top of my head.

4 CHAIR LOOMIS: You know, the districts are
5 different, right? And so --

6 COREY FRIEDMAN: Yes.

7 CHAIR LOOMIS: -- if it comes from the Fifth
8 District, it might be an interpretation that's highly
9 consequential, for example.

10 COREY FRIEDMAN: Yeah. I mean, we'll see what
11 happens. Also, it's possible they could go back up to the
12 Ninth Circuit again, but we don't know that at this point.
13 And as you can see from their case names, OEHHA is not a
14 party to those cases. It is the Attorney General's office
15 that is litigating them. We are a party in the processed
16 meat case.

17 Any other questions?

18 Okay. Then that is the end of my presentation.
19 So, Chair Loomis, if you would like to continue.

20 CHAIR LOOMIS: Thanks to both of you.

21 At this point, I'll ask Acting Director Edwards
22 to summarize the Committee Actions today.

23 ACTING DIRECTOR EDWARDS: Great. Thanks, Dana.

24 All right. So today, the Committee considered
25 and deliberated at length on whether to add vinyl acetate

1 to the Proposition 65 list as a carcinogen. By a
2 unanimous vote, the Committee approved to list vinyl
3 acetate.

4 The Committee also voted on a consent item to add
5 two 2,2,3-trifluoro-3-(trifluoromethyl)oxirane, also known
6 as hexafluoropropylene oxide, or HFPO, to Section 27000
7 list. That's published in the California Code of
8 Regulations. The vote here was also unanimous and so the
9 chemical will be added to that list.

10 I want to give thanks and acknowledgment for the
11 work that the Committee did to prepare for this meeting.
12 We really appreciate your effort and preparation. And, of
13 course, I want to add my thanks to the staff for all of
14 their work to put that document together and also to the
15 audience and commenters today.

16 We do have one final item, and that is that Dr.
17 Thomas McDonald has informed us of his intention to resign
18 from the CIC committee after his seven years of service on
19 this Committee. So this is Dr. McDonald's last meeting.
20 It's a tremendous service to the people of California. So
21 I would like to allow some time for the Committee members
22 and OEHHA staff to express their thanks to Dr. McDonald
23 and wish him well.

24 (Applause).

25 COMMITTEE MEMBER McDONALD: Yeah. Thank you very

1 much. Yeah, I am retiring in January and I'm going to try
2 to make it a clean break with work as well as other
3 toxicology.

4 CHAIR LOOMIS: That's hard to do, I can tell you.
5 (Laughter).

6 COMMITTEE MEMBER McDONALD: Yeah. Yeah.

7 Many of you may not know that I started my career
8 at OEHHA in 1994. I was there for 11 years and I really
9 enjoyed my time there, especially working with very
10 impressive scientists. I had to smile and chuckle a
11 little bit when you were talking about acrylamide and food
12 litigation, because I think I did the first NSRL on
13 acrylamide.

14 Anyway, I've been -- yeah, I enjoyed the last
15 seven years on the Committee and I appreciate that I was
16 allowed to serve.

17 Thank you.

18 ACTING DIRECTOR EDWARDS: Thank you.

19 I will now turn it back to Dr. Loomis to adjourn
20 the meeting.

21 CHAIR LOOMIS: Well, thanks, Dave. I'd like to
22 echo the appreciation for all the hard work of the staff
23 producing an excellent and really clear and concise risk
24 identif -- hazard identification document. Really, really
25 helpful for our discussions. Thanks too to the members of

1 the public who joined and gave comments and especially to
2 the Committee, new members, continuing members, and
3 retiring members. Really appreciate all your work on
4 this.

5 I now declare this meeting adjourned. Thank you
6 very much.

7 (Applause).

8 (Thereupon the Carcinogen Identification
9 Committee adjourned at 2:36 p.m.)

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription;

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 11th day of January, 2025.



JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063