

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR.
CALEPA HEADQUARTERS BUILDING
1001 I STREET
SIERRA HEARING ROOM
SACRAMENTO, CALIFORNIA

WEDNESDAY, DECEMBER 11, 2019

10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ulrike Luderer, Ph.D., M.P.H., Chairperson

Patrick Allard, Ph.D.

Diana Auyeung-Kim, Ph.D.

Carrie Breton, Ph.D.

Laurence Baskin, M.D.

Suzan Carmichael, Ph.D.

Irva Hertz-Picciotto, Ph.D.

Aydin Nazmi, Ph.D.

Tracey Woodruff, Ph.D.

STAFF:

Dr. Lauren Zeise, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Marlissa Campbell, Reproductive and Cancer Hazard
Assessment Branch

Dr. Vincent Cogliano, Deputy Director, Division of
Scientific Programs

Dr. Farla Kaufman, Reproductive and Cancer Hazard
Assessment Branch

Dr. Poorni Iyer, Reproductive and Cancer Hazard Assessment
Branch

Dr. Allegra Kim, Reproductive and Cancer Hazard Assessment
Branch

A P P E A R A N C E S C O N T I N U E D

STAFF:

Mr. Julian Leichty, Special Assistant for Programs and
Legislation, Proposition 65 Implementation Program

Dr. Francisco Moran, Reproductive and Cancer Hazard
Assessment Branch

Dr. Yassaman Niknam, Reproductive and Cancer Hazard
Assessment Branch

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Branch

Dr. Lily Wu, Reproductive and Cancer Hazard Assessment
Branch

ALSO PRESENT:

Dr. Dale Gieringer, California NORML

Ms. Ellen Komp, California NORML

I N D E X

	PAGE
I Welcome and Opening Remarks	1, 5
II Oath of Office for New Committee Members	3
III Consideration of Cannabis (Marijuana) Smoke and Delta-9-Tetrahydrocannabinol (Delta-9-THC) as Known to the State to Cause Reproductive Toxicity, based on Developmental Toxicity: Staff Presentation	9
Preliminary Committee Discussion	47
Public Comments	158
Committee Discussion and Decision	164
IV Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	181
V Staff Updates	
Chemical listings via the administrative listing mechanisms	186
Proposition 65 litigation	188
VI Summary of Committee Actions	197
Adjournment	199
Reporter's Certificate	200

P R O C E E D I N G S

1
2 DIRECTOR ZEISE: Good morning, everyone. I'd
3 like to welcome you all, welcome the Committee, the OEHHA
4 staff, the Office of Environmental Health Hazard
5 Assessment staff, and the audience in the room and online
6 to the December 2019 meeting of Developmental and
7 Reproductive Toxicant Identification Committee.

8 So we have one main agenda item today, the
9 consideration for listing under Proposition 65 of cannabis
10 smoke and delta-9-THC -- so again, for possible listing as
11 a developmental toxicant under Proposition 65. So the
12 more general endpoint is reproductive toxicity, but we are
13 considering reproductive toxicity in terms of
14 developmental toxicity today.

15 So the meeting is being transcribed, translated,
16 and webcast. So this is an early reminder that everyone
17 should speak clearly into the microphones, staff, panel,
18 as well as from the audience in making your public
19 comments.

20 So just a few logistics. The drinking water
21 fountains and restrooms, you go out the door, and turn
22 left, and walk all the way to the end of the hall. In the
23 event of any kind of an emergency, we'll go out the exit
24 door at the back of the room and walk down the stairs and
25 meet in the park across the street.

1 So with that, I think I've covered all -- oh, and
2 then we'll also be taking breaks for the court reporter.

3 So to introduce the Panel. We've got Dr. -- on
4 the far end in this direction -- yes, we do have Dr.
5 Patrick Allard from the University of California, Los
6 Angeles, School of Public Health. We have Dr. Diana
7 Auyeung-Kim from Genentech. We have Dr. Carrie Breton
8 from the University of Southern California School of
9 Medicine. Dr. Aydin Nazmi from the California Polytechnic
10 State University, San Luis Obispo.

11 Oh, I didn't introduce myself. I'm Lauren Zeise.
12 I'm Director of the Office of Environmental Health Hazard
13 Assessment within the California Environmental Protection
14 Agency.

15 Then to my left is our Chair Dr. Ulrike Luderer
16 from the University of California Irvine School of
17 Medicine. And then Dr. Suzan Carmichael from the Stanford
18 University School of Medicine. Dr. Irva Hertz-Picciotto
19 from the UC Davis School of Public Health -- School of
20 Public Health Science.

21 COMMITTEE MEMBER HERTZ-PICCIOTTO: School
22 Medicine, Department of Public Health.

23 CHAIRPERSON LUDERER: Thank you, Irva.

24 Dr. Laurence Baskin from the UC San Francisco
25 School of Medicine. And Dr. Tracey Woodruff from the UC

1 San Francisco School of Medicine.

2 So welcome, everyone.

3 Now, before we get into today's business and I
4 turn the -- turn over to the Chair the meeting, we're
5 going to have an oath of office for the new members, Dr.
6 Carrie Breton and Dr. Irva Hertz-Picciotto. So if you
7 could please stand up and do the oath of office.

8 DIRECTOR ZEISE: So Dr. Breton and Dr. Irva
9 Hertz-Picciotto, please raise your right hands and repeat
10 after me.

11 I, state your name --

12 COMMITTEE MEMBER BRETON: I, Carrie Breton --

13 COMMITTEE MEMBER HERTZ-PICCIOTTO: I, Irva
14 Hertz-Picciotto --

15 DIRECTOR ZEISE: -- do solemnly swear --

16 COMMITTEE MEMBERS: -- do solemnly swear --

17 DIRECTOR ZEISE: -- that I will support and
18 defend --

19 COMMITTEE MEMBERS: -- that I will support and
20 defend --

21 DIRECTOR ZEISE: -- the Constitution of the
22 United States --

23 COMMITTEE MEMBERS: -- the Constitution of the
24 United States --

25 DIRECTOR ZEISE: -- and the Constitution of the

1 State of California --

2 COMMITTEE MEMBERS: -- and the Constitution of
3 the State of California --

4 DIRECTOR ZEISE: -- against all enemies, foreign
5 and domestic --

6 COMMITTEE MEMBERS: -- against all enemies,
7 foreign and domestic --

8 DIRECTOR ZEISE: -- that I will be bear true
9 faith and allegiance --

10 COMMITTEE MEMBERS: -- that I will bear true
11 faith and allegiance --

12 DIRECTOR ZEISE: -- to the Constitution of the
13 United States --

14 COMMITTEE MEMBERS: -- to the Constitution of the
15 United States --

16 DIRECTOR ZEISE: -- and the Constitution of the
17 State of California --

18 COMMITTEE MEMBERS: -- and the Constitution of
19 the State of California --

20 DIRECTOR ZEISE: -- that I take this obligation
21 freely --

22 COMMITTEE MEMBERS: -- that I take this
23 obligation freely --

24 DIRECTOR ZEISE: -- without any mental
25 reservation --

1 COMMITTEE MEMBERS: -- without any mental
2 reservation --

3 DIRECTOR ZEISE: -- or purpose of evasion --

4 COMMITTEE MEMBERS: -- or purpose of evasion --

5 DIRECTOR ZEISE: -- and that I will well and
6 faithfully discharge the duties --

7 COMMITTEE MEMBERS: -- and that I will well and
8 faithfully discharge the duties --

9 DIRECTOR ZEISE: -- upon which I am about to
10 enter --

11 COMMITTEE MEMBERS: -- upon which I am about to
12 enter.

13 DIRECTOR ZEISE: Congratulations.

14 (Applause.)

15 DIRECTOR ZEISE: Now, I would like to introduce
16 the staff -- oh. Okay. Now, I'd like to introduce the
17 staff of the Office of Environmental Health Hazard
18 Assessment.

19 So at the end of the table, Allan Hirsch, the
20 OEHHA Chief Deputy Director; Carol Monahan Cummings, our
21 Chief Counsel; Dr. Vince Cogliano, who has joined OEHHA --
22 the Office. And he is our Deputy Director for Scientific
23 Programs. Welcome, Vince.

24 Dr. Martha Sandy, who's Chief of the Reproductive
25 and Cancer Hazard Assessment Section; Dr. Francisco Moran,

1 Farla -- Drs. Farla -- Dr. Francisco Moran, Farla Kaufman,
2 Allegra Kim, Poorni Iyer, Marlissa Campbell, and Yassaman
3 Niknam all within the Reproductive and Cancer Hazard
4 Assessment section. They're all staff toxicologists,
5 except for Dr. Allegra Kim, who's a Research Scientist
6 III. And they'll be presenting to the Committee today.

7 And then our Proposition 65 implementation
8 program staff, Esther Barajas-Ochoa, Tyler Saechao, and
9 Julian Leichty. So welcome all staff. Julian is over in
10 the corner there.

11 So now, Carol, would you like to make your
12 introductory remarks now?

13 CHIEF COUNSEL MONAHAN CUMMINGS: Sure, that's
14 fine.

15 Good morning. I just wanted to go over a few
16 things. Since this Committee only meets once a year, you
17 might not remember from the last time.

18 So, first, I wanted to point out that OEHHA takes
19 no position at these meetings regarding whether a chemical
20 or a substance should be listed. Our staff are available
21 to answer questions or locate information, if needed, but
22 they aren't going to recommend whether or not to list a
23 chemical.

24 The Governor appoints you because of your
25 scientific expertise to be the State's qualified experts

1 on reproductive toxicity of chemicals. So there's no need
2 for you to feel compelled to go outside that charge. Your
3 listing criteria was adopted by the Committee and it's in
4 your binders. You should base your decision on the
5 scientific principles that are outlined in that guidance
6 and not the consideration of potential future impacts of a
7 particular listing, like whether or not a warning might be
8 required.

9 The standard for the Committee, of course, is
10 whether or not a chemical has been clearly shown through
11 scientifically valid testing, according to generally
12 accepted principles to cause reproductive toxicity. That
13 standard is a scientific judgment call and not a legal
14 standard of proof.

15 This Committee can decide to list based on animal
16 evidence only. The chemical need not have been shown to
17 be a human reproductive toxicant or it need not be shown
18 whether the anticipated human exposures to the chemical
19 are high enough to cause reproductive toxicity. Those
20 issues are dealt with in a separate part of the process.

21 If you need more information today, or need more
22 time to think about the evidence, or to discuss it further
23 before making a decision, there's no requirement that you
24 make a decision today. You may also decide to list one or
25 the other of the two substances that are in front of the

1 Committee today. You don't have to list both of them, if
2 you don't choose to.

3 You may also defer a decision on some or all of
4 these chemicals or substances to the group -- in the group
5 to a subsequent meeting.

6 This process is flexible, so feel free to ask
7 clarifying questions of me or the other staff during the
8 meeting. If we don't know the answer to your question,
9 we'll do our best to find and report it to you.

10 Any questions?

11 Okay. Thank you.

12 DIRECTOR ZEISE: Thank you, Carol.

13 And with that, I'll turn the meeting over to our
14 Chair.

15 CHAIRPERSON LUDERER: All right. Thank you, Dr.
16 Zeise. I'd also like to welcome all the Panel members, as
17 well as the staff, and the members of the public who are
18 here both in person or listening via webcast.

19 I'd like to just remind everyone about public
20 comments. So as per our usual process, every speaker from
21 the public has five minutes, except for those that have
22 made requests in advance and received approval for longer
23 comments. There are blue comment cards available on the
24 back table to my right. Please fill one out if you would
25 like to speak and give it to Esther or Tyler.

1 Would you like to raise your hand, so everyone
2 knows who you are.

3 Thank you.

4 Okay. So we're going to then begin with our
5 staff presentations. And Dr. Martha Sandy, the Chief of
6 the Reproductive Hazard and Cancer Hazard Branch will be
7 giving the first presentation.

8 Dr. Sandy.

9 (Thereupon an overhead presentation was
10 presented as follows.)

11 DR. SANDY: Thank you very much. And if you can
12 put the first slide of the presentation up. So thank you
13 and welcome. I want to provide you with a bit of
14 background on how these two chemicals under consideration
15 today for possible listing have come before you.

16 So as has been said, the chemicals are cannabis
17 smoke and delta-9-THC. In January 1st, 2018 the adult use
18 of cannabis has become legal under California law. In
19 light of the possible public health concerns related to
20 cannabis use during pregnancy and concerns such use may
21 increase as a result of legalization, the Director of
22 OEHHA, in consultation with the Chair of the DARTIC
23 determined that cannabis and cannabis-related chemicals
24 should be reviewed for consideration for listing under
25 Proposition 65 as causing reproductive toxicity, based on

1 the developmental toxicity endpoint.

2 So in March of 2019, OEHHA issued a public
3 request for information on the developmental toxicity of
4 cannabis and cannabis-related chemicals. Nine submissions
5 were received and considered during the development of the
6 hazard identification document, or HID that you have
7 before you.

8 Because of the large volume of data available in
9 the published scientific literature on the developmental
10 toxicity of these substances, OEHHA limited its current
11 review to the evidence on developmental toxicity for
12 cannabis smoke and delta-9-THC.

13 Other relevant endpoints, such as male or female
14 reproductive toxicity may be considered by this Committee
15 at future meetings. Similarly, other cannabis-related
16 substances may be considered at future meetings.

17 Several staff within the Reproductive, Toxicology
18 and Epidemiology Section within my Branch will now present
19 an overview of the very large volume of studies included
20 in the HID that comprise the evidence on the developmental
21 toxicity of cannabis smoke and delta-9-THC.

22 And starting off the presentation will be Dr.
23 Francisco Moran.

24 DR. MORAN: Thank you. Good morning.

25 It's good?

1 In this HID, we compiled and summarized the
2 studies on the developmental effect of cannabis smoke and
3 delta-9-THC. Numerous epidemiology as well as
4 experimental animal studies have investigated the
5 potential to cause developmental harm. The aim is to
6 present data to support an objective and full
7 consideration of the evidence.

8 --o0o--

9 DR. MORAN: Cannabis smoke is a complex mixture
10 of several thousand chemicals. Chemicals identified in
11 cannabis smoke include aromatic amines, polycyclic
12 aromatic hydrocarbons, metals, carbon monoxide, nitric
13 oxide, and over 60 cannabinoid compounds such as
14 delta-9-THC. In pages 15 and 16 of our HID, there is a
15 list of about 350 chemicals identified in cannabis smoke
16 by several investigators. Delta-9-THC is the most potent
17 psychoactive compound present in cannabis.

18 --o0o--

19 DR. MORAN: Exposure could happen by a single or
20 any combination of these methods:

21 Combusting the cannabis or cannabis mixture and
22 inhaling the smoke;

23 Vaping and other vaporization methods, which
24 consisting in heating cannabis or cannabis extracts to
25 temperatures below the combustion point of approximately

1 230 Celsius degree, that result in formation of a vapor
2 and inhaling the vapor;

3 Dabbing, which consists of heating highly
4 concentrated cannabis or hashish to form a vapor;

5 And, finally, by ingesting cannabis or cannabis
6 extracts.

7 --o0o--

8 DR. MORAN: Absorption of the delta-9-THC and
9 other constituent of cannabis smoke occurs at multiple
10 sites within the aerodigestive tract, including mouth,
11 nose, throat, portions of esophagus and trachea, and the
12 lungs.

13 Delta-9-THC is lipophilic and with other cannabis
14 smoke products are distributed widely in the body. The
15 majority is distributed to highly vascularized tissues,
16 such as the brain.

17 Delta-9-THC crosses the placenta and reaches the
18 fetus and is also present in breast milk and meconium.
19 The two main metabolites of delta-9-THC, 11-hydroxy-THC
20 and the carboxylic form have been detected in umbilical
21 cord.

22 --o0o--

23 DR. MORAN: A variety of Phase I and Phase II
24 enzymes are expected to be involved in the metabolism of
25 cannabis. Excretion of delta-9-THC and its metabolites

1 occurs via the feces and urine, and to a lesser extent,
2 through sweat, saliva, breast milk, and hair.

3 --o0o--

4 DR. MORAN: This is an outline of our
5 presentation today. We will start with an overview of
6 endocannabinoid system followed by developmental
7 toxicity -- presentation of the data on developmental
8 toxicity for both somatic and neurodevelopmental outcomes
9 for human and animals.

10 Finally, we will summarize epigenetic and other
11 mechanistic data, and a final summary.

12 Now, Dr. Niknam will present the overview of the
13 endocannabinoid system and its relation to developmental
14 toxicity.

15 --o0o--

16 DR. NIKNAM: Thank you. Good morning.

17 The endocannabinoid system, or EC system is
18 comprised of cannabinoid receptors, or CBRs, and their
19 endogenous ligands. It has many physiological roles,
20 including maintenance of various stages of pregnancy,
21 reproductive function, somatic development, such as bone
22 growth and differentiation, regulation of the immune
23 system, and neurodevelopment.

24 There are three different cannabinoid receptors,
25 CB1, 2, and 3, where CB3 receptor is also known as G

1 protein coupled receptor 55, or GPR55. And these
2 receptors all function as G protein coupled receptors.

3 CB1R is mainly expressed in the nervous system,
4 but is also found in peripheral tissues.

5 CB2R is mainly expressed in the immune system,
6 but is also found in other tissues, such as the central
7 nervous system, peripheral nervous system, bone, and
8 female reproductive tissues.

9 CB3R is expressed in many tissue types including
10 bone and skeletal tissue; however, its role in regulating
11 development is not well understood in literature.

12 --o0o--

13 DR. NIKNAM: Cannabinoid receptors bind their
14 endogenous ligands known as endocannabinoids, or eCBs.
15 The two most prevalent eCBs are AEA and 2AG. They are
16 both synthesized on demand when needed and broken down by
17 the enzymes MAGL and FAAH.

18 --o0o--

19 DR. NIKNAM: There are a multitude of signaling
20 cascades activated through cannabinoid receptors that are
21 important during development.

22 These pathways are important in: development of
23 the embryo and facilitating successful embryo
24 implantation; bone growth and differentiation;
25 developmental of the immune system; and, development of

1 the nervous system.

2 --o0o--

3 DR. NIKNAM: Here is an example of the
4 physiological role played by the endocannabinoid system
5 specifically in bone growth. Bone growth is a continuous
6 process that begins prenatally and ends in maturity when
7 the growth plates are fully ossified and involves both
8 osteoblast and osteoclast activity.

9 Endocannabinoids produced by the -- by the
10 osteoblast bind CB1 receptors in nerve terminals and
11 downregulate noradrenaline leading to a reduction on the
12 negative control that noradrenaline has on osteoblast
13 activity.

14 It's important to note that both cannabinoid
15 receptors and endocannabinoids are expressed in the
16 epiphyseal growth cartilage, or EGC.

17 --o0o--

18 DR. NIKNAM: Cannabinoid receptors also play a
19 critical role in neurodevelopment and are expressed in
20 different parts of the brain, such as the hippocampus,
21 striatum, and cerebral cortex. The endocannabinoid system
22 can also affect they hypothalamic-pituitary-adrenocortical
23 axis, or HPA. It's important to note that CB1 receptor
24 densities fluctuate throughout gestation and expression of
25 cannabinoid receptors and their roles during development

1 rectifying potassium channels or GIRKs; voltage dependent
2 calcium -- and voltage dependent calcium channels.

3 Other receptors also important in the process of
4 neurodevelopment that endocannabinoids system affects
5 includes GABA, acetylcholine, and glycine receptors.

6 --o0o--

7 DR. NIKNAM: Because a large portion of the
8 mechanistic literature pointed to the NMDA receptor as a
9 major target of cannabinoids, here, I've included an
10 adapted adverse outcome pathway, or AOP, for cannabinoid
11 receptor agonists. Starting from left to right, the
12 molecular initiating event includes binding of agonists to
13 cannabinoid receptors during synaptogenesis, which results
14 in inhibition of the NMDA receptors, and several key
15 events later leads to the adverse outcome of impairment of
16 learning and memory.

17 Now Dr. Allegra Kim will present some of the
18 developmental somatic outcomes reported in human studies.

19 --o0o--

20 DR. KIM: Thank you. Good morning.

21 In selecting epidemiologic studies to include in
22 the hazard identification document, OEHHA had three main
23 criteria. The first was study design. We included
24 analytic designs with individual exposure and outcome
25 assessment including cohort and case-control studies, and

1 maternal self-report in interviews, which raises concern
2 about underreporting and validity. Some investigators
3 assayed biological samples, such as urine, for cannabis
4 exposure, which may identify more cannabis users, but may
5 also result in false negatives due in part to elimination
6 of THC and metabolites. Most studies did not report
7 results for different quantities of cannabis exposure.

8 The prevalence of cannabis exposure among
9 pregnant women was also relatively low. Exposure levels
10 among those who used cannabis were also often low, as many
11 used cannabis infrequently. And both prevalence and
12 intensity of exposure tended to decrease as the pregnancy
13 progressed.

14 Finally, any given outcome may be linked to a
15 specific sensitive window, which was often not considered
16 or incorporated in analyses.

17 --o0o--

18 DR. KIM: Another exposure consideration is the
19 potency or concentration of delta-9-THC in cannabis, which
20 has increased substantially over time. This chart shows
21 that delta-9-THC concentrations in cannabis increased from
22 about four percent in 1995 to about 12 percent in 2012
23 through 2014. The lower potency of cannabis when
24 participants in many of the included studies were exposed
25 may hinder the ability to see an association.

1 --o0o--

2 DR. KIM: Three major prospective longitudinal
3 cohorts examined developmental outcomes associated with
4 prenatal exposure to cannabis. The first two, the Ottawa
5 and Pittsburgh studies collected pregnancy data up to 1985
6 and followed some of the offspring into adulthood. The
7 Ottawa study enrolled healthy women who volunteered to
8 participate. Both of these studies collected
9 self-reported exposure data multiple times during
10 pregnancy.

11 The Generation R Study in the Netherlands was a
12 larger study that started data collection in 2002.

13 All of the cohorts used self-report for cannabis
14 exposure assessment. Generation R also had maternal urine
15 for a subsample.

16 --o0o--

17 DR. KIM: I will briefly review the findings for
18 the underlined birth and somatic developmental outcomes of
19 preterm birth, birth weight, birth length, and viability
20 and mortality. Other birth and somatic outcomes shown
21 here are included in the HID. And my colleagues will
22 present neurodevelopmental outcome after the animal
23 somatic outcomes.

24 --o0o--

25 DR. KIM: This forest plot shows risk estimates

1 for preterm birth and prenatal cannabis use reported by 11
2 studies and a meta-analysis.

3 The studies are in chronological order with the
4 earliest at the top. The vertical line represents an odds
5 ratio of one or no change in risk. Blue dots are odds or
6 risk ratios and the horizontal black lines are the 95
7 percent confidence intervals. At the bottom the plot
8 below the blue line, there is one meta-analysis.

9 A meta-analysis by Gunn et al. is excluded,
10 because it did not address confounding by tobacco.

11 With only three stud -- while only three studies
12 reported statistically significant associations with
13 pre-term birth adjusted for tobacco use, most odds ratios
14 are greater than one, suggesting increased risk of preterm
15 birth.

16 Four studies reported results stratified by
17 tobacco use. Only the estimates for cannabis only with
18 tobacco use -- without tobacco use -- excuse me -- are
19 shown here on this.

20 And here, the risk estimates for cannabis and
21 tobacco combined exposure are also shown. Adding tobacco
22 exposure resulted in higher risk estimates in three of the
23 four studies.

24 --o0o--

25 DR. KIM: Twenty-seven studies examined the

1 association between birth weight and prenatal cannabis
2 exposure. Of these, 12 reported statistically significant
3 associations between prenatal cannabis use and lower birth
4 weight adjusted for prenatal tobacco use.

5 This forest plot shows results from the six
6 studies reporting linear regression coefficients that
7 represent change in birth weight in grams associated with
8 prenatal cannabis use. Asterisks indicate statistical
9 significance.

10 Most of these studies reported either a decrease
11 in birth weight or no change associated with prenatal
12 cannabis use, as indicated by the majority of the blue
13 dots being to the left of the vertical line or at the
14 line.

15 --o0o--

16 DR. KIM: These six studies reported mean
17 differences in birth weight in grams associated with
18 prenatal cannabis use. Again, most of these studies
19 reported either a decrease in birth weight or no change
20 associated with prenatal cannabis use.

21 Two studies reported mixed results, which
22 included the significant associations with higher birth
23 weight shown. The three studies that reported multiple
24 exposure levels reported decrements in birth weight
25 associated with their highest cannabis exposure, although

1 one was not statistically significant. There are also two
2 meta-analyses below the blue line.

3 --o0o--

4 DR. KIM: Woops. Okay. Sorry. Chabarria et al.
5 reported that cannabis use alone was not associated with
6 odds of birth weight below the 25th percentile. But
7 tobacco use alone and cannabis and tobacco co-use
8 increased the odds of lower birth weight.

9 --o0o--

10 DR. KIM: Saurel-Cubizolles et al. reported
11 generally lower birth weight associated with more frequent
12 cannabis use and the addition of tobacco use, and Howard
13 and colleagues reported lower birth weight associated with
14 a positive test for cannabis exposure at delivery.

15 --o0o--

16 DR. KIM: The infant's birth at -- length at
17 birth was examined in 14 studies. Five studies reported
18 statistically significant associations between prenatal
19 cannabis exposure and decreased birth length. Three of
20 these five included bioassays for cannabis exposure.

21 One study reported mixed findings: cannabis use
22 once a week before or during but not throughout pregnancy
23 was associated with an increase in length, but a similar
24 decrease in length was associated with more frequent
25 cannabis use before and throughout pregnancy. Although

1 that did not reach statistical significance. Eight
2 studies did not report statistically significant
3 associations with birth length.

4 --o0o--

5 DR. KIM: Eleven studies examined offspring
6 viability and mortality. Five of these reported no
7 significant associations. No studies reported
8 associations with spontaneous abortion alone.

9 But spontaneous abortion and stillbirth combined
10 were examined in one study. The odds ratio for prenatal
11 cannabis use -- prenatal only, excuse me, compared to no
12 use, was 12.1. Stillbirth by itself was examined in four
13 studies, though three were unable to adjust for tobacco.

14 Petrangelo et al. with 12 and a half million
15 births reported a statistically significant adjusted odds
16 ratio of 1.5 and that was adjusted. Two studies reported
17 only unadjusted odds ratios of 2.34 and 1.74. One study
18 reported excess stillbirths among weekly and daily users,
19 but there were still too few to analyze and report.

20 Two studies examined sudden infant death
21 syndrome, or SIDS. One reported no association between
22 maternal cannabis use and SIDS.

23 A well-conducted case-control study focused
24 solely on SIDS reported no associations with maternal
25 cannabis exposure, but paternal cannabis use before the

1 conception period and possibly the pregnancy was
2 associated with the odds of SIDS.

3 Now, Dr. Campbell will present somatic
4 developmental studies in animals.

5 --o0o--

6 DR. CAMPBELL: Thank you.

7 We will be presenting summaries of four main
8 subtopics of available data on the animal developmental
9 toxicity of cannabis smoke and delta-9-THC.

10 The information on early embryo development and
11 implantation was prepared for the HID by Dr. Lily Wu. I
12 will be presenting that information, along with sections
13 on the whole animal studies, and evidence on immune
14 development and bone growth. And a bit later, Dr. Poorni
15 Iyer will present the animal evidence on
16 neurodevelopmental toxicity.

17 --o0o--

18 DR. CAMPBELL: The EC system may regulate early
19 developmental events such as oviduct transport, embryo
20 development, and implantation. Cleavage stage embryos
21 have been found to express mRNA for both CB1R and CB2R. A
22 1995 in vitro study by Paria et al. reported that
23 delta-9-THC delayed mouse embryo development in a
24 dose-dependent manner. Between 60 and 89 percent of
25 two-cell mouse embryos failed to reach the blastocyst

1 stage after exposure.

2 A series of in vivo studies from the same group
3 investigated effects of THC on implantation of mouse
4 embryos. Delta-9-THC exposure alone under the conditions
5 used had no affect on implantation frequency. But when
6 THC metabolism was blocked by co-treatment with a
7 cytochrome P450 inhibitor, implantation frequency
8 approached zero.

9 When THC was given with metabolism inhibitors and
10 a CB1 receptor blocker, then implantation frequency
11 recovered. Implantation frequency was also normal when
12 THC and metabolism inhibitors were given to mice having a
13 knockout mutation for both CB1 and CB2 receptors.

14 --o0o--

15 DR. CAMPBELL: We identified and retrieved 38
16 whole-animal toxicity studies investigating multiple
17 potential effects of prenatal exposure to cannabis smoke
18 or delta-9-THC by the oral or injection routes. These
19 apical-type studies were published between 1971 and 2017.
20 The majority were conducted during the 1970s with only two
21 published after the year 2000.

22 And following this slide, the next few slides
23 will show the most frequently observed effects by route of
24 exposure.

25 This slide also includes a brief overview of some

1 of the most common methodological and reporting deficits
2 affecting confidence in the available data set.

3 Inadequate sample size and failure to analyze data on a
4 per litter basis, or to otherwise account for litter
5 influence, were the most common of these.

6 Because the maternal animal is the exposed
7 individual and litter membership is a strong determinant
8 for offspring outcomes, such as viability, fetal or birth
9 weight, and frequencies of morphological anomalies. The
10 failure to account for litter effects can allow a small
11 proportion of outlier litters to give a skewed impression
12 of a dose group especially when combined with small sample
13 size.

14 --o0o--

15 DR. CAMPBELL: This slide shows results from
16 inhalation exposure to cannabis smoke in animals. Taken
17 together, the results of these studies appear consistent
18 with an effect of prenatal exposure of -- to cannabis
19 smoke on both pre- and postnatal growth. Delays in
20 acquisition of postnatal developmental landmarks also
21 suggest an association between exposure and generalized
22 developmental retardation.

23 However, all the studies shown here as reporting
24 significant adverse effects performed their analyses on a
25 per dose group not a per litter basis. Where analyses

1 were performed on a per litter basis, statistical
2 significance was not achieved.

3 --o0o--

4 DR. CAMPBELL: This slide shows results of oral
5 exposure to delta-9-THC. And again, the reported results
6 appear consistent with adverse effects on offspring
7 viability, weight deficits, and in some studies effects on
8 the male reproductive system of exposed offspring. Again,
9 overall confidence in the data set is undermined by
10 generally poor reporting of methods, including failure to
11 note the number of animals per group or to account for
12 changes in group size between the original treatment and
13 the final analysis.

14 --o0o--

15 DR. CAMPBELL: One of the better studies
16 performed by the oral route was this one Fleischman et
17 al., 1980. They reported on three experiments conducted
18 in rats and a fourth experiment in mice.

19 The rat studies tested doses ranging from 12.5 to
20 50 milligrams per kilogram per day of delta-9-THC in
21 sesame oil, with sacrifice for evaluation every three days
22 between gestation days eight and 19. Mice were treated
23 similarly but using much higher doses.

24 For both species, viability decreased with
25 increasing dose. And those were affects that were

1 statistically significant on a per litter basis. Although
2 it should be noted that the data for animals sacrificed on
3 different gestational days were lumped together by dose
4 group, such that animals in a group were exposed to the
5 same daily dose, but not necessarily the same total
6 gestational dose, and then the same potential windows of
7 sensitivity wouldn't have been covered.

8 --o0o--

9 DR. CAMPBELL: This slide shows injection
10 exposure to delta-9-THC. Studies that were performed in
11 rodent -- rodents or rabbits reported results including
12 adverse effects on offspring viability and weight.
13 Although, again, overall confidence in the data set is
14 constrained by limitations in experimental design and
15 reporting. Most used test groups of marginal size and
16 failed to perform statistical analysis on a per litter
17 basis.

18 An additional study was conducted in five
19 sexually mature female rhesus monkeys. That was the Asch
20 and Smith, 1986. They gave delta-9-THC by intramuscular
21 injection starting on the day pregnancy was confirmed and
22 continuing on throughout gestation.

23 Four out of five pregnancies were lost in the
24 treated animals: three by early spontaneous abortion, and
25 a fourth was stillborn. Vehicle controls produced five

1 live born infants out of five pregnancies.

2 Other test groups in the study involved treatment
3 at later stages of gestation. And those experiments
4 resulted in predominantly live births, suggesting that
5 early gestation may be the most sensitive period for these
6 animals

7 --o0o--

8 DR. CAMPBELL: In an elegant series of
9 experiments Lombard et al., 2011 used pregnant C57 black 6
10 mice to of the studies the effects of gestational exposure
11 to delta-9-THC on development of offspring thymic
12 cellularity and function. Gestation day 16 corresponds to
13 the initial stages of T cell development in fetal mice,
14 and so was selected as a sensitive window for disrupting
15 the developing immune system.

16 Specific experiments documented:

17 First, that fetal -- mouse fetal thymocytes
18 express high levels of CB1 and CB2 receptors. The figures
19 shown on this slide shows total thymic cellularity in
20 gestation day 17 mouse fetuses following THC treatment on
21 the previous day. Other experiments demonstrated
22 caspase-dependent apoptosis causing thymic atrophy and
23 altered T cell subpopulations following THC exposure. In
24 vivo receptor blocking experiments showed that
25 pre-treatment with antagonists attenuate a delta-9-THC

1 induced immunological changes. Significant functional
2 immune dysregulation was demonstrated postnatally in five
3 week-old pups following gestational THC exposure with a
4 treated animal showing decreased proliferative and
5 antibody responses to human immunodeficiency virus gp120
6 antigens.

7 --o0o--

8 DR. CAMPBELL: As mentioned earlier in the
9 presentation on the EC system, the EC system has an
10 important role in the processes of bone growth and
11 remodeling at all stages of life, but particularly during
12 periods of rapid bone growth. These processes begin
13 prenatally and continue postnatally until growth is
14 complete. Delta-9-THC exposure has been reported to
15 affect bone growth and remodeling, both in vitro and in
16 vivo.

17 The figure on this slide shows microcomputed
18 tomography of femurs from female mouse pups at 11 weeks
19 postnatal age. Now, in this case, delta-9-THC treatment
20 was given daily between the ages of 5 and 11 postnatal
21 weeks, which is the very rapid period of bone growth in
22 these animals.

23 THC exposure was associated with decreased
24 femoral length wild type or CB2 minus, minus female pups,
25 while CB1 minus, minus or double mutant mice knockout for

1 central nervous system maturation, visual perception and
2 functioning, attention, and intelligence and achievement.

3 Below each of these categories one can see the
4 preponderance of studies emanating from the two large
5 longitudinal cohorts, the Ottawa cohort and the Pittsburgh
6 cohort. These studies from -- the studies from these
7 cohorts were well-conducted and of good quality.

8 --o0o--

9 DR. KAUFMAN: In this table, the
10 neurodevelopmental categories studies are shown on the
11 right with the ages at which the children were tested
12 across the top. For CNS maturation, most of these
13 associations were assessed during infancy.

14 --o0o--

15 DR. KAUFMAN: Presented here are the studies that
16 examined CNS maturation. All the studies were found to be
17 significantly -- found significant associations.

18 In the Ottawa cohort, the findings included
19 decreased habituation and response to light, and increases
20 in startles and tremors in neonates, although these
21 outcomes normalize by 30 days of age.

22 In a study of children with an average age of
23 four, increased variability binocular indices were
24 observed. In the Pittsburgh cohort, one study observed
25 increased P1 wave latency in one month old infants and

1 eight[SIC} month old toddlers. P1 wave latency is a
2 measure of visual evoked potential, and is used as an
3 estimate to brain maturation in clinical practice.
4 Increased disturbances in sleep were observed in one to
5 two day old infants and three year old children

6 --o0o--

7 DR. KAUFMAN: The of the studies examining
8 attention were conducted in children one to 22 years of
9 age, with outcomes highlighted here.

10 --o0o--

11 DR. KAUFMAN: Twelve studies observed significant
12 associations, two reported no significant findings.
13 Specific outcomes included increases in attention problems
14 in girls -- excuse me -- 18 months of age, decreased
15 sustained attention and increased impulsivity in children
16 six years of age up to those 22 years of age. A dose
17 response relationship was reported in one of the studies
18 in six year olds.

19 Only one study reported an increase in sustained
20 attention, although the authors postulated that this may
21 reflect the children needing more time to complete the
22 task. However, this could not be tested as data on
23 reaction time was not recorded. One other study observed
24 increased behavioral regulation. This study relied on
25 teacher's evaluations

1 and learning and memory.

2 In children 13 years and older, shown in the
3 right-hand column, associations were observed in -- with
4 lower abstract design and Peabody spelling scores in the
5 Ottawa cohort and lower school achievement in the
6 Pittsburgh cohort.

7 One study in high school students observed
8 increased metacognition. This was the study that used
9 teachers' evaluations.

10 The studies highlighted in green were studies
11 that controlled for postnatal cannabis exposure in the
12 home.

13 --o0o--

14 DR. KAUFMAN: The outcomes for visual functioning
15 and processing are highlighted here.

16 --o0o--

17 DR. KAUFMAN: Five of the studies examining the
18 outcomes observed significant associations. One study
19 conducted in four and a half year olds observed an
20 improvement in global motion perception thresholds. Two
21 studies in nine to 12-year olds observed decrease function
22 and processing on a number of measures shown here.

23 Two studies, one from Ottawa and one from the
24 Pittsburgh cohort examined function in children 18 to 22
25 years old and 16 years old, respectively. Both studies

1 observed decreased interhemispheric coordination, while
2 one study also found de -- increased visual motor
3 coordination and the other observed decreased processing
4 speed.

5 --o0o--

6 DR. KAUFMAN: The next few slides show some other
7 outcomes which were studied. These were presented in
8 tables D.13 and D.14 in the hazard identification
9 document. They include substance use as shown on this
10 slide. One study examined e-cigarette use in adolescents
11 and observe significant -- one significant association.
12 Three of four studies examining early initiation frequency
13 of cannabis use observed significant associations. Three,
14 other studies of early initiation only also observed
15 significant associations.

16 One study examining cannabis and tobacco use
17 reported a significant association, as well as one for
18 drug use disorders. So six of the seven studies shown on
19 this slide observed significant associations either by
20 direct or indirect pathway using path analysis. No
21 significant association was observed in one study.

22 --o0o--

23 DR. KAUFMAN: Mood disorders, specifically
24 depression, anxiety, or psychotic symptoms and experiences
25 were examined in six studies. Four studies observed

1 significant associations, one reported a marginally
2 significant association and one found no significant
3 association.

4 --o0o--

5 DR. KAUFMAN: Nine studies examined various
6 aspects of behavior, five of which observed significant
7 associations with child behavior problems. One study
8 observed an association with increased aggression in
9 girls. One reported early sexual behavior. Another study
10 reported an association with negative adult roles. And
11 two studies observed associations with emotional problems,
12 no significant association was observed in a study of
13 behavioral resilience.

14 Eight of the nine studies reported significant
15 associations through direct or indirect pathways. One
16 study reported no significant association.

17 --o0o--

18 DR. KAUFMAN: Six studies used neuroimaging to
19 examine either structural differences or functional
20 outcomes, three of which looked at brain morphology and
21 structural changes using magnetic resonance imaging. A
22 study in children six to eight years of age from the more
23 recent Gen R cohort in the Netherlands reported
24 significantly thicker cortices, specifically in the
25 superior frontal area of the left hemisphere, as well as

1 exposed to cannabis and 15 unexposed were tested on four
2 executive functioning tasks, while in an fMRI scanner.

3 Performance on the tasks were not significantly
4 different between the two groups, except where the exposed
5 adolescents made more errors on commission -- errors of
6 commission.

7 The findings did show that all four executive
8 functioning tasks - in those, the prenatally exposed group
9 had significantly more brain activity compared to the
10 non-exposed group, specifically in the left posterior
11 region of the brain. The author stated that this suggests
12 a need for a compensatory response whereby either
13 additional brain regions were required to perform the
14 tasks or more activity in typically activated regions is
15 necessary.

16 Prenatal cannabis exposure was associated with
17 neurophysiological processing in several distributed
18 neural networks that underline multiple types of executive
19 functioning.

20 --o0o--

21 DR. KAUFMAN: Dr. Iyer will now present the
22 studies of neurodevelopmental outcomes in animals.

23 --o0o--

24 DR. IYER: Good morning. So a number of studies
25 were conducted in animals to investigate the

1 neurodevelopmental effects of exposure to either cannabis
2 smoke, cannabis extracts, or delta-9-THC. These included
3 a large number of studies in rats, with three studies in
4 mice, and one study this rhesus monkeys, and there were
5 four studies in the zebrafish model.

6 Exposure to cannabis smoke via inhalation was
7 tested in three studies, exposure to delta-9-THC was
8 tested by oral and parenteral routes in multiple studies,
9 and exposures to hashish and cannabis extracts were tested
10 in single studies by the oral and parenteral routes
11 respectively.

12 As shown here, the studies differed in design
13 according to when exposures occurred. For example, in
14 some the exposure occurred prior to conception, in
15 another, exposures occurred in utero, and in others
16 exposure occurred perinatally or postnatally.

17 Studies with postnatal exposures may be directly
18 relevant to human prenatal exposures because the
19 developmental stage of the neurological structure affected
20 by postnatal exposure in the rodent may correspond to the
21 gestational period in humans.

22 --o0o--

23 DR. IYER: This next slide provides an overview
24 for some of the neurodevelopmental effects studied in
25 animals after preconceptional, or prenatal, or perinatal

1 --o0o--

2 DR. IYER: Ten studies examined a variety of
3 cognitive endpoints utilizing a number of different tests
4 with individual studies focusing only on some of these
5 endpoints. The animals were exposed to delta-9-THC or
6 cannabis extract preconceptionally, or prenatally, or
7 postnatally. Cognition includes memory and learning as
8 well as acquisition.

9 In this first slide, findings in five studies
10 related to impaired memory and learning are shown. There
11 were three studies that reported no significant effects on
12 spatial learning and memory.

13 --o0o--

14 DR. IYER: In this second slide on cognition,
15 effects of other aspects, such time taken to complete
16 tasks or deficits in attention are shown. These effects
17 were reported in four studies.

18 --o0o--

19 DR. IYER: Four studies examined several aspects
20 of emotionality after prenatal or perinatal exposure to
21 delta-9-THC using different testing paradigms. The
22 findings could vary within the same study for different
23 measures of emotionality. The tests included various
24 measures of social interaction and anxiety. Findings
25 related to social interaction were reported in three

1 studies and one study observed no effects on emotional
2 reactivity. An increase in separation-induced ultrasonic
3 vocalization in young pups was reported. And changes were
4 reported in open fetal behavior in offspring evaluated as
5 adults.

6 --o0o--

7 DR. IYER: Eleven studies examined the potential
8 for increased frequency of drug-seeking behavior after
9 preconceptual, prenatal, or perinatal, or just postnatal
10 exposure to delta-9-THC. Also, one study observed lower
11 sensitivity to natural rewards.

12 Two studies reported new effects on either food
13 consumption -- food or morphine self-administration, or
14 ethanol self-administration following perinatal exposures
15 to delta-9-THC.

16 --o0o--

17 DR. IYER: Four studies in the zebrafish model
18 assessed neurodevelopmental effects, as well as some
19 morphological endpoints after exposure to delta-9-THC.
20 The authors interpreted the neurodevelopmental effects
21 shown here on the top part of the slide to be an
22 indication of anxiogenic behavior.

23 --o0o--

24 DR. IYER: This slide has examples of effects
25 reported at the molecular level with TH -- delta-9-THC

1 exposure. Many of the studies that reported effects at
2 the molecular level also tested for behavior and typically
3 publications include this aspect in an attempt to
4 understand the mechanisms involved in contributing to the
5 behavior observed.

6 Exposure was do delta-9-THC or cannabis extract,
7 and was preconceptional, or prenatal, or perinatal. These
8 molecular findings focused on both concentration or
9 temporal aspects of expression. Alterations in gene
10 expression was evaluated by measuring protein levels
11 and/or mRNA levels. Alterations of gene expression of
12 delta-9-THC responsive genes affected gene ontology
13 categories that impacted various parameters of
14 neurodevelopment.

15 Altered mRNA and protein levels related to
16 neurotransmitters were reported, such as a decrease in
17 cortical extracellular levels of glutamate and
18 noradrenaline. And in one case, in one experiment an
19 increase in tyrosine hydroxylase mRNA.

20 A number of these alterations were reported in
21 brain regions known to be involved in drug-reinforcing
22 behavior, such as the nucleus accumbens.

23 --o0o--

24 DR. IYER: The changes related to cannabinoid
25 receptors were age-dependent given that there are patterns

1 during development of the expression of cannabinoid
2 receptors and different neuronal lineages may be affected,
3 and frequent co-localization of the opioid and cannabinoid
4 receptors with overlapping expression between the opioid
5 and cannabinoid systems were observed.

6 Now, that concludes the presentation of the
7 neurodevelopmental data animals. And now my colleague
8 Francisco Moran will present the findings from the
9 epigenetic data.

10 --o0o--

11 DR. MORAN: Okay. Epigenetics effects data were
12 prepared in collaboration with Andres Cardenas and Anna
13 Smith of the University of California Berkeley. This is a
14 very busy slide presenting a summary of the information
15 presented in the HID on epigenetic and related findings
16 after exposure to cannabis smoke and delta-9-THC in humans
17 and animals.

18 I'm going to highlight a few findings here.

19 Effects were reported in sperm in human and rats,
20 on effects in rat brain as a result of exposure of the
21 fathers prior to conception.

22 Changes in DNA methylation were reported. For
23 example, lower methylation levels were reported in human
24 sperm DNA; and differentially methylated regions were
25 reported in rat sperm DNA.

1 Highlighting another set of findings all related
2 to alterations in dopamine receptor associated
3 methylation, gene expression, and protein expression.
4 Increased DNA methylation in the promoter region of the
5 dopamine receptor D2 and D4 genes were observed in exposed
6 adult humans, and also decreased dopamine receptor gene
7 expression in some brain regions in man. In animals it
8 was also reported decreased expression of dopamine
9 receptor 2 among other genes and altered profile of a
10 specific histone methylation marks at the dopamine
11 receptor 2 locus.

12 --o0o--

13 DR. MORAN: We'll conclude this presentation with
14 a brief summary of what was presented today before you.

15 --o0o--

16 DR. MORAN: This is a summary of the
17 developmental somatic outcomes.

18 --o0o--

19 DR. MORAN: And this is a summary of what was
20 presented for you on neurodevelopmental outcomes.

21 That's all we have today. Thank you.

22 CHAIRPERSON LUDERER: Thank you very much for
23 those wonder -- excellent overviews and for all the work
24 that went into this -- putting together this very
25 comprehensive document.

1 Do we have any -- I guess we have some time maybe
2 for some clarifying questions, if any, from Panel members?

3 No. All right.

4 Then we will move on to Committee discussion.

5 There are two discussants for each of these areas.

6 Although, the agenda lists one order, I think it makes
7 sense to go in the order that the presentations by staff
8 were done. So we'll -- do you have a questions or

9 COMMITTEE MEMBER WOODRUFF: Yes. I had a
10 question about some of the materials that were in the
11 presentation, like the graphs. Are all of these -- not
12 all of these are included in the -- right.

13 I guess it would be helpful to get them ahead of
14 time, because it's hard to -- well, actually, I think that
15 we should have more graphics and graphical elements in the
16 HID documents. And so I -- I'm going to save my general
17 comments for later. But I just think that there's better
18 approaches to being able to extract some of the data from
19 the -- to extract the data from the presentation -- from
20 the papers and to include them in a way that it's easier
21 to visually read them.

22 And I wanted to just comment that I thought the
23 presentation on the neurodevelopmental outcomes was very
24 helpful, but I thought it was -- would have been very
25 helpful to have it written in a more clear and categorized

1 approach for the animal studies. So I felt like the
2 writing -- the way that the epidemiological studies were
3 covered in the document were -- was pretty good, but
4 should have used the same approach where we had better
5 tables about outcomes and similarities across outcomes
6 and -- and reporting for the animal studies, because
7 they're just -- actually, let me just say this, the
8 non-human studies, because they're basically similar
9 animal studies, but just in -- not in humans. And I think
10 the inconsistency across the document between those
11 sections made it difficult to really read some of it.

12 So that was it.

13 CHAIRPERSON LUDERER: Thank you.

14 Any other comments or questions from the Panel?

15 Okay. All right. Then we will move on, as I
16 said, to our Committee discussion. So we'll start out
17 with the human studies of developmental effects. And the
18 first discussant for those is Dr. Suzan Carmichael.

19 COMMITTEE MEMBER CARMICHAEL: Okay. Good
20 morning, everyone. And thanks again to everyone who
21 has -- who put all the hard work into the preparation of
22 these materials for us. That's always hugely helpful
23 especially with a literature this large.

24 So just basically a brief outline of what I'm
25 going to talk about. Very briefly mention a little bit of

1 background about use and then highlight some of the
2 challenges, which will echo some of those that were
3 mentioned by the OEHHA staff; challenges to studying this
4 issue of cannabis exposure and birth outcomes, and
5 interpreting the literature. I want to briefly mention
6 what current recommendations are from professional
7 organizations about use during pregnancy. And then I'll
8 go -- give a summary of findings -- summary of findings of
9 the epidemiologic literature on maternal and infant birth
10 outcomes. And then I'll put that in the context of the
11 tenets of causal inference.

12 So basically just as has been said, we've got a
13 backdrop of increasing prevalence of use and increasing
14 potency of the products over time, and legalization, which
15 is -- in other places has been shown to be leading to
16 further increases in use.

17 Currently, estimates vary on prevalence of use,
18 but it may be around six to eight or higher during
19 pregnancy and at least 10 to 15 percent in the year before
20 pregnancy. Although, some estimates are, you know, up to
21 at least twice that.

22 It goes down markedly by the end of pregnancy.
23 So especially before a woman knows she's pregnant, the use
24 may be more comparable to the pre-pregnancy use, but still
25 during pregnancy.

1 These -- this usage likely varies regionally.
2 It's higher in the youngest and the lowest socioeconomic
3 status women. And so those are just -- that's just some
4 of the context we're working in.

5 Some of the challenge -- the main challenges to
6 studying cannabis use and repro -- and birth outcomes, and
7 interpreting the literature. I want to really emphasize
8 how limited the exposure assessment has been in many
9 studies. Most of the studies have minimal detail. It's
10 typically -- it's typically just any or no use during
11 pregnancy. And so frequency isn't typically known. The
12 type of product is -- there's very little examining any
13 detail on that, which does make it a challenge to
14 compare -- to think about what different types of products
15 and as product -- use of different products is changing.

16 Some studies did try to sort of compensate for
17 that, saying use of hashish, for example, is equivalent to
18 a certain multiplier for -- versus smoking other products.
19 And there's really not information about e-cigarette --
20 e-cigarette use versus other use.

21 And then timing, there's very -- since it's
22 usually any versus none, there's very limited information
23 about that. But as we know, effects on development can
24 vary depending on timing of exposure. And there have been
25 varied approaches. Typically, self-report. Some studies

1 just did things like medical record review, ICD-9 codes
2 from discharge records, some have tox screen results or
3 other biomarker results. And biases could occur with any
4 of these approaches. It's hard to know in which direction
5 those biases may occur.

6 It depends on how standardized data collection
7 was and the circumstances. For example, it could vary
8 from an interview during prenatal care that is
9 standardized and confidential to interview data collected
10 right at labor and delivery.

11 And then the increasing potency of products over
12 time presents challenges to comparing results of older
13 versus newer studies. And then another -- so exposure
14 assessment is difficult and then correlation with tobacco
15 use is a challenge. It's hard to isolate. Most --
16 many -- a large percentage of women who report cannabis
17 exposure also smoke cigarettes, and so that makes it
18 difficult to separate out the effects of one versus the
19 other.

20 However, it's also notable that cannabis smoke
21 contains many of the same toxins as tobacco smoke and
22 often at several fold higher levels. And the same with
23 carbon monoxide exposure.

24 And I just wanted to briefly mention what current
25 recommendations are, before I move on to summarizing the

1 actual literature. The National Academy of Science,
2 Engineering, and Medicine in January of 2017 concluded
3 there's substantial evidence of a statistical association
4 between maternal cannabis smoking and low birth weight,
5 and limited evidence of an association with pregnancy
6 complications for the mother.

7 And the American College of Obstetrics and
8 Gynecologists issued a recommendation in October of '17.
9 Just a quote, "Women who are pregnant or contemplating
10 pregnancy should be encouraged to discontinue marijuana
11 use". And the American Academy of Pediatrics a year
12 later, September of '18 quote, "Marijuana should not be
13 used during pregnancy". And then a Surgeon General report
14 in August of this year refers to both of those AAP and
15 ACOG statements and the effects of the endocrine -- on the
16 endocannabinoid system and birth weight and quote, "No
17 amount of marijuana use during pregnancy or adolescence is
18 known to be safe. Until and unless more is known about
19 the long-term impact, the safest choice for pregnant women
20 and adolescents is not too use marijuana".

21 So now I'll move on to summarizing the findings
22 from the epidemiologic literature. I'm going to start
23 with maternal health. And again, these are rather large
24 literatures, so I'm kind of cutting to the chase and
25 referring to the systematic reviews that have been done,

1 as well as the more recent studies.

2 So Gunn in 2015 included maternal
3 pregnancy-related morbidities in its review. And it only
4 included studies that excluded women with other illicit
5 substance use. So narrowed it down in that way.

6 And the main -- the main -- the outcome with the
7 most studies was anemia. And they reported findings on
8 six studies related to maternal anemia. Five were null,
9 but the -- but one -- one -- the one study that was
10 actually large was -- had a positive finding. So the
11 meta-analysis results showed an in -- a significantly
12 increased risk of 40 percent. However, that was not
13 adjusted by any potential confounders like cigarette
14 smoke.

15 And then there were a few studies of hypertensive
16 disorders during pregnancy. They tend to be small and
17 older and they were not significant. And that was based
18 on three studies they reviewed. Other studies of
19 maternal -- other miscellaneous maternal health outcomes
20 tended to have from like one to three studies each at
21 most, and basically inconclusive.

22 And there's a review by Conner in the same -- in
23 2016 or '15. And they refer to placental abruption. And
24 found -- and there were five studies and found that the
25 unadjusted odds ratio was 1.8, so 80 percent increased

1 risk. But that was not adjusted and they did not -- I
2 don't believe they presented and adjusted risk estimate.

3 And then as for more recent studies, there's a
4 study by Chabarria in 2016 using study -- using samples
5 from the Baylor PeriBank it's called. And they surveyed
6 women at labor and delivery about their use of cannabis
7 during pregnancy. And one of the interesting things in
8 that of the studies is that they split their analyses
9 based on women who were only exposed to cannabis, which
10 was 58 women and versus women who were exposed to --
11 reported both cannabis and tobacco use, which was 48
12 women. And then they also showed results for 194 women
13 who only smoked tobacco.

14 And the odds ratio -- the adjusted odds ratio for
15 maternal hypertensive disorders was 2.6 for women who used
16 both, but it was closer to 1.3 for women who only used
17 cannabis or only used tobacco. And this is where it's
18 just -- it's just difficult to interpret even with an
19 analysis that's trying to differentiate and stratify,
20 based on -- to get around this potential confounding or
21 interaction with tobacco. It's difficult to separate out
22 the effects due to sample size. And also, they did not
23 take into consideration whether co-use was associate --
24 was actually a marker for increased intensity of exposure.
25 So women who used both may be -- may be higher users of

1 one or the other. But again, it just shows the limitation
2 of -- of these -- of getting at intensity of exposure and
3 independence from tobacco.

4 And then there's a study Petrangelo in 2018 used,
5 I believe, data from the National Inpatient Sample, and
6 looked at a number of maternal morbidities. And they were
7 all non-significant, but they used ICD-9 codes to assess
8 cannabis exposure. And that's basically codes used at a
9 hospital discharge. And it's very underreported. It
10 wasn't collected in a stand -- or reported in a
11 standardized way.

12 So basically, in summary, there's really
13 limited -- very limited evidence about -- not enough
14 evidence to make firm conclusions about maternal health
15 and cannabis use during pregnancy.

16 And then there -- I will summarize studies on
17 structural congenital malformations. There have been a
18 handful of studies in the last couple of decades. They
19 tend to be limited in their ability to examine specific
20 phenotypes or specific types of congenital anomalies. And
21 this is especially important because they are -- they are
22 heterogeneous in their etiology and different structures
23 develop by different mechanisms.

24 And just to note, even one of the stronger
25 studies had challenges with sample size, given that

1 specific congenital anomalies tend to be relatively rare.
2 So there was study using -- by van Gelder using data from
3 the National Birth Defects Prevention Study, a
4 population-based, multi-state, case-control study, which
5 has very good stan -- it's retrospective, but it has
6 standardized interviews to assess exposures and very good
7 ascertainment of the birth -- of the congenital anomalies
8 themselves.

9 That out of 20 birth defects, it only saw an
10 association with anencephaly, which was of 1. -- and odds
11 ratio of 1.7, but the confidence interval included one and
12 only included 12 exposed cases.

13 So even with one of these more rigorous -
14 although it does have limitations as well - one of these
15 studies, it was still difficult to actually assess
16 associations with congenital anomalies. So again,
17 unfortunately, I think there's not enough evidence to rule
18 in or out whether there's an impact on this important set
19 of outcomes.

20 And then I'll discuss studies related to
21 pregnancy loss and perinatal and postnatal mortality as a
22 group. And here, I would include spontaneous abortion and
23 stillbirth, infant mortality, and SIDS. And again, there
24 were not that many studies. I believe 11 were covered in
25 the OEHHA summary, the report that we received before

1 today. Very limited evidence. Many small sample sizes.
2 But I will summarize a few studies here.

3 So Petrangelo, the 2018 study that used the
4 Nation -- the National Inpatient Sample did find an odds
5 ratio of 1.5, which was significant for quote "fetal
6 demise". And that was adjusted for smoking.

7 However, this was, as I said, I believe, smoking
8 and cannabis exposure were based on ICD codes and not
9 assessed in a more standardized way than that.

10 And then Varner in 2014 using data did -- from
11 the Stillbirth Collaborative Research Network, which was a
12 very rigorously conducted study focused on stillbirth.
13 They found an odds ratio for cannabis exposure based on
14 tox screens was 2.8, and that was significant. And those
15 were in singleton babies with no congenital anomalies.

16 The authors -- that's the unadjusted result. The
17 author said that the results -- the odds ratio decreased
18 more than ten percent after adjustment for cotinine
19 levels, but that result -- that actual result is not
20 shown.

21 And in 2019, Howard and others conducted a
22 study -- conducted a study and it included some results
23 for perinatal mortality. And they based exposure on a
24 woman being positive for a screening that was done using
25 urine samples at both during a prenatal care appointment

1 and at birth.

2 So women who were positive at both -- for both
3 had -- there was an adjusted odds ratio of 4.2, and that
4 was significant. And -- but again, those numbers were
5 relatively small. There were 18 deaths in the THC
6 negative women and nine in the THC positive women.

7 And it says it's adjusted, but it doesn't state
8 what it's adjusted for. And I'm not sure what the time
9 frame is for perinatal mortality. Then again, it was
10 concerning given the high odds ratio.

11 And then there's one study I wanted to point out
12 on SIDS, and -- by Scragg in 2001. And that was a
13 nationwide study in New Zealand, case control study,
14 included 393 cases. And one of the advantages in that
15 study was that they did look at frequency of use. And
16 they found that the odds ratio for at least weekly use was
17 1.8 for SIDS. And so that was adjusted for race,
18 ethnicity, and tobacco. And that is a partially-adjusted
19 model. It was not significant in their fully-adjusted
20 model. But that model also included birth weight and
21 gestation, which could be considered sort of intervening
22 or on the causal path. So for the purpose of thinking
23 about the association -- the overall association with SIDS
24 itself, then I believe the odds -- the odds ratio of 1.8
25 is more representative of that in particular.

1 So, in summary, there are some concerning
2 results, I think, in this relatively small literature.
3 But it is -- these are basically very few studies per
4 outcome. So it's difficult to make any firm conclusions.

5 And then we'll go to birth weight. There's
6 definitely the most studies there, probably at least 30
7 studies. And reviews results have been mixed. Many
8 studies tend to show a reduction in birth weight. An
9 important question is to figure out whether that's
10 independent of tobacco or interactive with tobacco
11 possibly.

12 The two reviews published in -- it's 2016, the
13 review by Gunn included 24 studies and concluded that
14 there is an -- there's substantial evidence for an
15 association with lower birth weight. And the other review
16 in 2016 by Connor included 31 studies and concluded that
17 there was not an association after taking into -- after
18 taking -- after looking at results that were adjusted for
19 cigarette smoking.

20 So they were -- so that's what the conclusions
21 were. However, given the co-occurrence of the two, it's
22 still -- it's still difficult I think to tease apart or
23 know if the -- or to know if the actual -- actually,
24 typically frequency is not taken into account, adjusting
25 for cigarette smoking could actually be sort of a proxy

1 for adjusting for intensity of exposure, and therefore an
2 overadjustment.

3 And just to point out, even with all of these
4 studies, it's still difficult. In Connor, they tried to
5 especially focus on -- or pull out the studies that
6 actually looked at frequency of exposure. And out of all
7 the studies that they reviewed, there were only two of low
8 birth weight that actually they cited as analyzing
9 results, including frequency rather than just any versus
10 none. And only five of the preterm birth studies were
11 able to do that. And that resulted in basically in this
12 meta-analysis, only 49 women who had the outcome and
13 weekly exposure, and actually zero reported with daily
14 exposure. So it just shows you how limited the literature
15 is on that point.

16 And they also pointed out -- highlighted studies
17 that stratified by tobacco exposure. So again, like the
18 earlier study I was mentioning trying to -- another way to
19 isolate the effects of cannabis by looking at cannabis
20 over -- cannabis only, or cannabis plus tobacco, or
21 tobacco only exposure. There were no low birth weight
22 studies that did that and only two preterm birth studies,
23 which resulted in only eight exposed cases.

24 I wanted to highlight a few more recent studies.
25 There's a study by Crume in 2018 using data from the

1 Colorado PRAMS, or Pregnancy Risk Assessment Monitoring
2 System, study. It's a survey that's done across many
3 states. And they did find an association with low birth
4 weight. The -- a 50 percent increased risk, and that was
5 significant, even after adjustment for several variables
6 including late pregnancy, exposure, or cigarette smoking.
7 And that study did not find that associations with other
8 outcomes, such as small for gestational age or preterm
9 birth.

10 And then there's the study by Howard in 2019 at
11 the -- out of Cincinnati that had the exposure based on
12 urine samples during prenatal care and at birth. And they
13 did find that birth weight was lower in women who were
14 exposed. It was lower by about 150 grams for women who
15 only showed a positive screen during prenatal care, and by
16 about 450 grams by women who only were positive at
17 delivery. There were only 27 exposed women in that group.

18 And then it was -- birth weight was reduced by
19 over 100 -- or wait, no. Sorry. About 300 grams in women
20 who were positive both prenatally at a prenatal visit and
21 at delivery. And that was about a little over 100 women.

22 And they say that these results were -- these
23 were the unadjusted results. In the text, they say that
24 the results were still significant after adjustment. They
25 actually provide the P values for that, but they don't

1 actually show the difference. Although, these unadjusted
2 differences are substantial.

3 And then Chabarria in 2016 did the study using
4 the Baylor samples. It had used exposure assessment based
5 on self-report. Less than one percent of women actually
6 reported use during pregnancy. And they found that the
7 results were not significant for birth weight for the
8 women who only showed exposure to cannabis. But they
9 were -- so the odds ratio was around 1.3. But it was
10 significant for women who used both tobacco and cannabis.

11 So, in conclusion, I would say there's limited
12 evidence that does suggest an association with birth
13 weight. The limited information on associations with
14 cannabis among women who do not smoke and limited
15 information on intensity and timing of exposure, and
16 limited information from more contemporary studies make it
17 difficult to make definitive conclusions.

18 And now I'll talk about preterm delivery or --
19 and gestational age. They were probably around 20 -- 25
20 studies -- or more than 20 studies. Results are more
21 mixed than for birth weight. Many of the studies -- so
22 there's not a prepon -- many of the studies don't show an
23 association. Some do. The meta-analyses by Connor and
24 Gunn both -- actually sorry, the meta-analysis by Connor
25 showed a significant association with preterm delivery of

1 30 percent increased risk. But after adjustment for
2 tobacco, it was only a ten percent risk and that was not
3 significant. And then the review by Gunn concluded that
4 the association was not significant.

5 And, in summary, the evidence for gestational age
6 and preterm delivery is less suggest -- less suggestive of
7 an association with preterm birth than with birth weight.

8 And there have been a number of studies looking
9 at other aspects of fetal growth from length at birth to
10 head circumference, to small for gestational age. It's
11 relatively -- I think the findings are rela -- and the
12 limitations are relatively similar to what we've seen for
13 gestational age, but with somewhat fewer studies, and
14 somewhat more variable definition and the outcome -- how
15 the outcome is defined. And so I'd say there's
16 insufficient evidence for an association there.

17 And then just to put this into context of sort of
18 how we think about synthesizing the weight of the evidence
19 and causal inference. As our colleagues have summarized,
20 and I'm sure there will be more in the subsequent
21 presentations, the -- in detail -- in more detail, I think
22 the biologic plausibility is extremely strong. And
23 it's -- there's also plausibility based on -- by analogy
24 based on similarities in cannabis and tobacco exposure, as
25 far as some of the toxins that are present and carbon

1 monoxide exposure as well.

2 And as far as consistency of findings, results
3 are not very consistent across -- for many of these
4 outcomes, I'd say the most consistent is for birth weight
5 across different designs, and populations, and
6 definitions.

7 The strength of association is moderate from --
8 tending to be from around 1.5 to two-fold increased risks.
9 But again, the limitation being that usually it's an "any"
10 or "none" comparison in the literature. And it would be
11 really helpful to have more information on -- more
12 information about intensity of use.

13 And as far as dose response, there's again very
14 little on dose response. To add to this synthesis,
15 temporality is clear. And then I think as far as
16 coherence being another tenet, coherence of the human with
17 the experimental animal studies and mechanistic studies.
18 I think we'll hear more about that in subsequent
19 presentations.

20 So in summary, I'd say there's certain -- it's
21 certainly plausible based on mechanistic effects and
22 similarities to tobacco. And there is some evidence,
23 although limited, of a statistical association between
24 cannabis use and some birth outcomes especially low birth
25 weight and insufficient evidence to support or refute a

1 statistical association between cannabis and many of the
2 studied outcomes, especially maternal, pregnancy-related
3 health outcomes.

4 That's it. So I will end there.

5 CHAIRPERSON LUDERER: Thank you very much, Dr.
6 Carmichael for that discussion and summary.

7 I think we'll have the -- our second discussant
8 Dr. Breton present next, right?

9 COMMITTEE MEMBER BRETON: Um-hmm.

10 COMMITTEE MEMBER WOODRUFF: Can I ask a question?
11 Were all the papers that you mentioned at the end, the
12 Colorado study, was that in the references? What did you
13 say the same of -- that was Crume?

14 COMMITTEE MEMBER CARMICHAEL: The Colorado one is
15 Crume, C-r-u-m-e.

16 COMMITTEE MEMBER WOODRUFF: Was that in the
17 references in here, in the document?

18 COMMITTEE MEMBER CARMICHAEL: I'm pretty sure it
19 was, but I'm --

20 DR. KAUFMAN: I think it might have been
21 identified after our cutoff. We have to cutoff the search
22 for studies much earlier, because it takes a long time to
23 produce a document.

24 COMMITTEE MEMBER WOODRUFF: Oh. Did you -- I
25 didn't see a cutoff date in the document for when you cut

1 off your search. Is there a date when you cut off your
2 search?

3 DR. KAUFMAN: I'll have to look in the HID, and
4 I'll get back to you on that one.

5 COMMITTEE MEMBER CARMICHAEL: It was 2018.

6 COMMITTEE MEMBER WOODRUFF: Was your search
7 during 2019 or '18?

8 COMMITTEE MEMBER CARMICHAEL: So I'm thinking
9 that one was in there, but I'm sorry. I don't remember
10 for sure. I can look for that.

11 DR. KAUFMAN: We'll bring an answer back to you.

12 COMMITTEE MEMBER WOODRUFF: I don't -- well, I
13 don't see it. That's why I was looking for it.

14 How did you find it?

15 COMMITTEE MEMBER CARMICHAEL: Okay. Are you
16 looking in the report itself?

17 COMMITTEE MEMBER WOODRUFF: Yeah.

18 COMMITTEE MEMBER CARMICHAEL: Okay.

19 COMMITTEE MEMBER WOODRUFF: Where else am I
20 supposed to look? Is there another place?

21 COMMITTEE MEMBER CARMICHAEL: No. I thought
22 maybe you were looking at like -- I know that some of the
23 articles -- the PDF. If you were looking like in the
24 folder of PDFs. If you were looking there, maybe -- it
25 may not be there.

1 COMMITTEE MEMBER WOODRUFF: Oh, yes. No, I know
2 that too.

3 COMMITTEE MEMBER CARMICHAEL: Just that --
4 because all the PDFs weren't there.

5 COMMITTEE MEMBER WOODRUFF: It's just -- I will
6 make this comment later, but I just -- I appreciate some
7 of the documentation of the search, but I felt that
8 there's a lot more that can be done to clarify the search
9 and obtaining of the studies, because there were --
10 there's a lot of -- I think the methods can be improved by
11 which the studies are identified, documented, and made
12 available to us. I mean, that's an example of one. I
13 have several examples of studies that were -- either I
14 found in references or were listed in the document and not
15 available on the website. And there's a -- I think we
16 need to see some improvement in the tools used, so that
17 the -- you know, the underlying database is accessible.

18 That Crume study sounds -- or did I -- I don't
19 know if I pronounced that right. It sounded very
20 interesting and important, so -- because it's taking place
21 in a -- in a -- in Colorado where they have recently
22 legalized marijuana. So it seems like it's more relevant
23 than maybe some of the older -- I mean, a lot of these
24 studies are quite old, so...

25 CHAIRPERSON LUDERER: Thank you.

1 Dr. Breton.

2 COMMITTEE MEMBER BRETON: Thank you. So thank
3 you, Dr. Carmichael, for a very comprehensive summary. So
4 I don't want to repeat things that she has already said,
5 so I do have a few additional comments that I would like
6 to make. I'll start with birth weight, because as she
7 said, I do believe that the -- there's the greatest level
8 of evidence for birth weight and low birth weight.

9 So just a couple other points that I wanted to
10 make with regard to that are that of the meta-analyses
11 that were done, the three most recent ones - and by
12 recent, I define that as post-2000 - found -- did all find
13 evidence for cannabis associated -- being associated with
14 lower birth weight.

15 And that, you know, while the literature on dose
16 response -- dose response is limited, the ones that did
17 exist, looking at urine biomarkers, do show evidence for a
18 dose response. So I think that that's worth keeping in
19 mind that some of the more recent studies are starting to
20 move in that direction, trying to assess exposure a bit
21 better or trying to look at dose response.

22 And also in thinking about recent versus older
23 studies in light of the potency for THC changing over
24 time, the seven out of the ten studies from the last
25 decade all show statistically significant lower birth

1 weight -- associations with lower birth weight.

2 And so they may be slight -- slightly more
3 relevant or point to the fact that we've crossed some
4 threshold in terms of potency that matters when we're
5 doing population studies. So that's all I wanted to say
6 about birth weight.

7 With regard to preterm birth where -- and I think
8 that's sort of the next one in terms of level of --
9 literature and level of evidence potentially in support of
10 an association, it is -- I agree with Dr. Carmichael that,
11 in general, it's very mixed. And if you look at just the
12 overall numbers of studies, only six out of 19 find
13 statistically significant positive associations with risk
14 for preterm birth, and including one meta-analysis in that
15 count.

16 But again, if you look at the ones that have any
17 evidence for dose response, four out of six of them that
18 looked at dose response see evidence for a dose response.
19 So again, I think that that's -- that's a strength in the
20 literature and is something to consider in the larger
21 context and also when looking at meta-analyses that try to
22 really summarize the state of literature at that given
23 point in time. The meta-analyses also suggest positive
24 associations.

25 And then with regard to pre- and postnatal

1 mortality and so risk for spontaneous abortion or
2 stillbirths, I would agree that the -- the evidence is
3 just too thin to really draw conclusions. These are
4 really challenging studies to do in human populations. So
5 I think that the results may be suggestive, but at this
6 point are just too thin.

7 And then the only other one I want to -- the only
8 other one -- category I want to mention has to do with
9 birth defects. And, you know, the challenge with birth
10 defects research of course also is that there are many --
11 there -- it's a very heterogeneous group. They're often
12 quite rare. So in trying to do this in human studies,
13 they can be very challenging.

14 So on the whole, only five out of 13 studies of
15 any type that were down found any sort of association, but
16 they were with different birth defects and different
17 types. And I think that -- so the distinction that -- or
18 the one point I wanted to make here that I think wasn't
19 mentioned is that some of these were secondary analyses,
20 and -- but of these studies that specifically set out to
21 study birth defects, and so they were specifically
22 designed as a population of studies to look at birth
23 defects, they -- those studies tended to find
24 statistically significant associations with exposure and
25 the outcome.

1 And so -- so I think thinking -- you know, it's
2 hard to dive into the heterogeneity of these, but I found
3 that the evidence with regard to the VSD or the
4 ventricular septal defects might be suggestive, in that
5 large -- within the context of the larger body of
6 literature that on the whole is not very -- is really
7 quite thin for birth defects.

8 And then I agree with Dr. Carmichael in the sense
9 that all of the other outcomes look -- that have been
10 looked at so far, the studies are just too thin and
11 inconclusive at this point in time.

12 So I'll end there.

13 CHAIRPERSON LUDERER: Thank you very much, Dr.
14 Breton.

15 Dr. Kaufman

16 DR. KAUFMAN: Yeah. I'd like to respond to Dr.
17 Woodruff's question. The Crume et al. study 2018 was
18 acknowledged in the HID on page 405. It's a cross-sectional
19 study and it was excluded, as per our criteria that we
20 outlined in the HID.

21 COMMITTEE MEMBER WOODRUFF: Would you say that --
22 was it --

23 DR. KAUFMAN: It was -- we excluded the
24 cross-sectional studies. And that is on page 42 as
25 outlined in tabulation and summarization of epidemiologic

1 studies. And the cutoff date for our search was November
2 8th, 2018.

3 COMMITTEE MEMBER WOODRUFF: I'm sorry. So on
4 page -- I'm sorry. Can you say again on page 42?

5 DR. KAUFMAN: Yeah, sorry. Page 42 outlines the
6 criteria for inclusion and exclusion. Ecological studies,
7 cross-sectional studies and case studies were excluded --
8 or case series were excluded.

9 COMMITTEE MEMBER WOODRUFF: So there's no
10 cross-sectional studies listed in the document?

11 DR. KAUFMAN: There are -- there could be some,
12 but it's -- this is the rule that we -- we didn't include
13 them in the analyses that we presented due to the nature
14 of -- the cross-sectional study you can't establish
15 temporality and that's pretty -- pretty standard.

16 COMMITTEE MEMBER WOODRUFF: But -- so I guess --
17 so, I'm sorry, in the summaries -- I'm sorry, you excluded
18 studies in the document that were cross-sectional or in
19 your summary?

20 DR. KAUFMAN: Well, some are shown here as
21 excluded in the document. We specified which studies we
22 excluded on page 405. In our detailed study summaries and
23 in our summaries of outcomes, we did not include
24 cross-sectional studies.

25 CHAIRPERSON LUDERER: Okay. I think now would

1 be --

2 COMMITTEE MEMBER WOODRUFF: Okay. Can I just say
3 one more thing.

4 Yes, because I am reading this. And I actually
5 did have a comment about this in my comments. This is
6 what it says, "Detailed summaries were developed and
7 included in the appendices for analytic epidemiology
8 studies with individual exposures and outcome assessment,
9 such as cohort and case-control studies". So is it yes or
10 no? "Such as" is like "for example".

11 I guess what my point is -- I mean, I know you
12 did a lot of work and this is a really important topic. I
13 think my point is is that I would like to see a more --
14 better clarity on what the exclusion and inclusion
15 criteria are for the studies, because "such as" implies to
16 me that sometimes they are and sometimes they aren't.

17 And my recommendation would be for the next
18 document to have something a little more clear, like --
19 like what you would have in a systematic review, like a
20 PECO statement that says here's the things we're going to
21 do, and we -- if we're going to exclude cross-sectional
22 studies, here's the exact reasons and how we decide.

23 So I -- you're right, I did read this, but then
24 it says they were excluded "such as" or they were
25 excluded. So one could interpret that in two different

1 ways, so that's just --

2 DR. KAUFMAN: Well, we put "such as", because
3 some people are very specific. This was general, a cohort
4 and case-control studies. Some people identify cohorts as
5 longitudinal studies or retrospective studies. So that's
6 the "such as". But as I pointed out, it goes on to
7 specifically say ecological studies, cross-sectional
8 studies, and case-control studies were excluded.

9 So I will note -- we will note in the future to
10 be more specific. And instead of "such as" we will list
11 all of what was --

12 COMMITTEE MEMBER WOODRUFF: Okay. Great. That's
13 helpful. Thank you.

14 DR. KAUFMAN: -- very clearly included.

15 COMMITTEE MEMBER WOODRUFF: Okay. But I mean,
16 then this one too, "Studies that did not address potential
17 confounding were also excluded with few exceptions, where
18 this was noted and detailed in the appendix tables".
19 Again, I just think it's -- you know, you either are going
20 to include them or not include them, and -- so now this
21 says sometimes also. And I think it's -- it makes it
22 easier to evaluate the literature and be more -- have
23 better clarity and reduce the bias in evaluating it if
24 it's -- there's a more clear decision rule.

25 So you sometimes included these studies that had

1 confounders or sometimes you did not. So I just think
2 that, again, being -- having more clearly written rules,
3 somewhat like a PECO statement, would help that, so it
4 would be clearer which studies were in and out.

5 Because then it's going to matter, right, when we
6 do the evaluation, because there's this issue about the
7 potential for confounding by tobacco. So how do we
8 evaluate that?

9 CHAIRPERSON LUDERER: Okay. Thank you, Dr.
10 Woodruff.

11 Do we have -- I was just going to ask for
12 additional discussion by the Panel.

13 Dr. Hertz-Picciotto, did you have a comment?

14 COMMITTEE MEMBER HERTZ-PICCIOTTO: No. I -- I
15 mean, it seemed to me that this was a very comprehensive
16 tabulation of studies. I didn't get a sense of selection
17 going on by the staff as to what went in and what went
18 out. I mean, it seemed -- you know, there's a ton of
19 studies here and I'm speaking for the more developmental
20 outcomes. And they were virtually all cohort studies,
21 which I think is appropriate, given the importance of
22 having the temporality of exposure prior to -- assessed
23 prior to the outcome.

24 So, you know, it didn't strike me as particularly
25 unclear, but, you know -- and "such as" to me means "for

1 example", so...

2 COMMITTEE MEMBER BASKIN: I would just echo that
3 I thought the analysis was pretty spectacular with an
4 emphasis on the cohort longitudinal studies, which we're
5 going to talk about in the next discussion and the
6 prospective ones are what we have to emphasize. And every
7 one of these meetings there's a -- we have to review many
8 articles that are basically worthless. The ones that
9 aren't, we need to focus on.

10 COMMITTEE MEMBER WOODRUFF: I totally agree that
11 it's better to pick a set of studies that are useful for
12 this analysis. But when your discussion point said, oh, I
13 looked at this Crume -- whatever this paper -- I don't
14 think I'm pronouncing this persons's name right -- Crume.
15 So that indicates to me that there might be some value in
16 this study. And so that kind of backs up into, well, what
17 is our selection criteria? Are we going to consider
18 cross-sectional studies as a valuable input into this or
19 not?

20 I'm not disagreeing that you guys did a
21 tremendous amount of work, and it's very useful, and
22 there's a lot of studies. But when we start to discuss
23 them individually and we're getting down to thinking about
24 the body of evidence, and that there's differences in the
25 body of evidence depending on the type of studies, this

1 type of thing actually, when I listen to the discussion,
2 makes a difference. So I do think it's worth being clear
3 in the document about those types of things.

4 COMMITTEE MEMBER CARMICHAEL: Yes. And, you
5 know, I could be clearer also in the study design, so --

6 COMMITTEE MEMBER WOODRUFF: I wasn't -- I wasn't
7 saying that. I was just saying -- I'm just saying it's
8 like it becomes clearer --

9 COMMITTEE MEMBER CARMICHAEL: Yeah.

10 COMMITTEE MEMBER WOODRUFF: -- that there's a
11 discussion going on, because if we don't have clarity
12 about what the studies are or are not, then people have
13 different maybe understandings of what the body of
14 evidence is. That's all my point is.

15 CHIEF COUNSEL MONAHAN CUMMINGS: Dr. Luderer.
16 Sorry. If I could just say again that it's -- it's
17 totally fine to look at any evidence that you all have
18 found that may be in addition to the work that the staff
19 put together. And the fact that they may have excluded a
20 study, it's okay to consider that one, if you think it's
21 appropriate from a scientific perspective.

22 But -- so you're not constrained by the document
23 that we created or the way we might have presented it.
24 You can apply your own scientific judgment to what the
25 material is and anything additional that you may have

1 found.

2 DIRECTOR ZEISE: Yeah. And I -- I think another
3 issue is I -- that was raised was the presentation of the
4 animal data. And basically, we followed the approach used
5 that was discussed by the Committee earlier. And so this
6 Committee may now decide that they'd like to see the
7 evidence presented differently. And we can talk about
8 that later. Perhaps after we discuss -- after you discuss
9 the chemical more. But what we're -- you know, we're open
10 to hearing from the Committee about ways of presenting the
11 information that you find particularly useful.

12 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto.

13 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. Just to
14 point out, I actually had meant to say this earlier and I
15 forgot. I had written it down when you were asking for
16 comments after the initial presentation. And the one
17 thing that I think I would kind of take issue with in the
18 presentation of these outcomes was that there was a -- one
19 of the slides was about spontaneous abortion and
20 stillbirth. And it talked about one study that had an
21 odds ratio of 12.1. And then it went on to show that
22 others had much more, 1.7, things likes that.

23 If you looked at that study, and I never read the
24 study. I've never seen it, but all you needed to do is
25 look at the confidence interval, which went from 1.03 to

1 141.8. Now, if you get a confidence interval in which the
2 upper limit compared to the lower limit is ten-fold, at
3 that point, you already know that they're small cells,
4 probably -- they're small cells. There's at least one
5 cell that's five or smaller. And when you've got
6 something over 100, there's a zero cell most likely or at
7 most there's a one in that cell. And to draw any
8 conclusion from any epi study where you've got a cell with
9 one person - and we know epidemiology is full of all kinds
10 of problems with misclassification, things like that - it
11 means that you could lose or add one -- one more, and it
12 would totally change your results.

13 So it's -- I would say take it out. I never let
14 my students publish if there's a confidence interval
15 that's bigger than ten. Take it out.

16 COMMITTEE MEMBER WOODRUFF: I have another.

17 Yeah, I just want to go back to because you also
18 referenced the excluded and included studies on page 405
19 and 406. So we did look at that. And I just want to see
20 if I have these numbers right. There were 435 references
21 that you had from the Swift screening. And so you
22 included 142 studies, is that right, and excluded 74?

23 I just said there's 219 studies that I just --
24 are not accounted for in this. So I think the other thing
25 I would also recommend for next time is to have a flow

1 diagram of how you start off with the number size. You
2 have the total number of the studies that you started with
3 in the table. But then how you got to the final number
4 need -- should -- there should be a flow diagram that
5 says, okay, we did this title and abstract review, then we
6 did this full text review, and show how many papers were
7 at each step, because -- I mean, maybe those 200 studies
8 aren't really useful. I don't know, but they could be so.
9 So that was also -- I didn't really have a -- that was a
10 kind of a gap here.

11 CHAIRPERSON LUDERER: Do we have any additional
12 discussion on the epidemiological studies related to
13 pregnancy outcomes?

14 Well, no.

15 All right. So, it's -- I was planning on, as I
16 said, moving on to the animal studies of the related
17 developmental endpoints next. And so one question is what
18 time do we want to break for lunch or start on that? I'm
19 wondering if we --

20 DIRECTOR ZEISE: So you can -- the Committee can
21 either decide to break for lunch now and come back in 45
22 minutes to an hour. And then take the animal studies at
23 that point or take a quick -- or take a quick break of ten
24 minutes to give the court reporter some time and break for
25 lunch.

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Give him at
2 least 15.

3 CHAIRPERSON LUDERER: Anyone on the panel opposed
4 to taking a break for lunch now and would prefer to do
5 that? 15 short break or

6 DIRECTOR ZEISE: So shall we take a ten minute
7 break.

8 COMMITTEE MEMBER WOODRUFF: So are we going to
9 each lunch, did you say?

10 CHAIRPERSON LUDERER: I was actually suggesting
11 the opposite of that.

12 DIRECTOR ZEISE: Oh, sorry.

13 (Laughter.)

14 CHAIRPERSON LUDERER: Since I apparently wasn't
15 clear. We could have a show of hands who would -- on the
16 Panel who prefer to have lunch now?

17 (Hands raised.)

18 CHAIRPERSON LUDERER: Okay. All right. It looks
19 like we have a lot of unsure, so let's just decide now
20 that we'll break for lunch and then we'll reconvene in one
21 hour.

22 All right.

23 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me. Just
24 a reminder, too, that Committee members that during lunch
25 please don't discuss among yourselves the subject that

1 you're considering today. Maybe just talk about the
2 weather or something.

3 Thanks.

4 (Off record: 12:00 p.m.)

5 (Thereupon a lunch break was taken.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:01 p.m.)

3 CHAIRPERSON LUDERER: Oh, now it's on. Okay.
4 All right. Now, you can hear me, right?

5 Okay. The green light was on, but it was not
6 doing any amplification.

7 All right. Well, I'd like to reconvene. I hope
8 everyone had a good lunch. We are going to continue now
9 in the afternoon session with a discussion of the animal
10 studies of the other developmental endpoints kind of to
11 complement the epidemiological study discussion that we
12 had in the morning. And so the first discussion on those
13 endpoints is going to be from Dr. Auyeung-Kim.

14 COMMITTEE MEMBER AUYEUNG-KIM: Thank you.

15 So I'm going to follow the same -- the same order
16 that Dr. Campbell discussed this morning for the animal
17 studies.

18 And so the conduct of embryo development and
19 implementation -- implantation studies are limited to in
20 vitro models and also a study in mice. As mentioned, the
21 Paria laboratory ran a series of experiments on the
22 possible estrogenic effects of THC in mice. The lab
23 studied the presence of cannabinoid ligand receptors, CB1
24 and CB2, signaling in the embryo and uterus during early
25 pregnancy.

1 The results suggested that THC is capable of
2 producing modest project -- pro-estrogenic and
3 anti-estrogenic effects in the mouse uterus and
4 demonstrated ligand receptor signaling with
5 endocannabinoids and is intimately associated with
6 embryo-uterine interactions during implementation. The
7 study, however, is limited, in that it is know -- unknown
8 whether this mechanism is applicable to other species,
9 since only the mouse model was used and whether the
10 physiological significance of the signaling pathway is
11 relevant to humans.

12 And so based on this -- the studies that were
13 presented for early embryonic and development
14 implantation, I don't believe that it clearly indicates
15 whether THC has an effect on early embryonic development
16 or implantation, because of the limitations in the data
17 available.

18 With regards to the general effects in whole
19 animal studies, the inhalation route was first discussed.
20 And it's the relevant route of exposure in animal studies.
21 And the animal studies were conducted in both mice and
22 rats, as previously mentioned.

23 The limitations of the study are that there was a
24 small number of animals. All but one study had an N of
25 ten or less. And animals whole -- also animals -- in most

1 of the studies the animal whole body was exposed. The
2 animals were exposed in chambers where their whole body
3 was exposed. And so therefore, there is a potential for
4 ingestion as well. And in most analysis conducted, they
5 were on a per group basis and not on a per liter basis, as
6 previously mentioned.

7 Only a few studies indicated maternal toxicity,
8 which was the decreased body weight gain, while others did
9 not report or there were no maternal toxicity.

10 As mentioned in the Charlebois and Fried study in
11 1980, some of the developmental tox observed with the
12 cannabis exposure included decreased birth weight and
13 delayed incisor eruption and delayed eye opening, which
14 may be related to maternal malnutrition. As mothers are
15 exposed to cannabis may not eat well.

16 Now, I'm going to go over -- there is -- the one
17 study that had a robust number of animals was the
18 Rosenkrantz study in 1999. And it had an N of 30 for
19 inhalation in mice and rats. Maternal toxicity was not
20 mentioned. Exposure to smoke via the nose cone -- and
21 this one is also -- exposure was not a whole body
22 exposure. It was only through the nose cone -- was
23 performed during day six to 15 of gestation. And overall,
24 I think the study was well designed and controlled and
25 targeting the doses that would be seen in heavy users

1 exposed to cannabis smoke.

2 There's no teratogenic effects were observed in
3 the Swiss Webster mice or the Fischer 344 rats after
4 exposure to the marijuana smoke, but embryo toxicity was
5 prevalent in the mice.

6 For the mothers, there were no significant
7 adverse effects on the conception rate, dam growth -- dam
8 growth rate, total number of implants, or the number of
9 implants per dam.

10 On the other hand, the number of dams with early
11 fetal resorption was significantly increased in a
12 dose-related fashion among marijuana-exposed mice, but it
13 was not observed in the rat. So the study was -- had
14 mixed results in whether or not it was a mice-only effect
15 or whether -- because it was only seen in the mice and not
16 the rats. No other species was reported.

17 So there was -- and for the oral studies, there's
18 a greater number of oral studies conducted in mice, rats,
19 and hamsters, and a chimpanzee study was also conducted.
20 Most of the studies also did not have a sufficient number
21 of animals or some of the finer endpoints evaluated were
22 limited to just that study, where it was like the altered
23 sex ratio, the reduced postnatal weight gain, and
24 increased external malformations. There were also studies
25 where the analysis was conducted on a total group basis

1 rather than per liter.

2 And so I'll review a few papers that I considered
3 to have a sufficient number of animals and had adequate
4 methods or study design. In the same paper discussed
5 previously for inhalation, the Rosenkrantz paper, they
6 treated the CD-1 mice -- and they changed the species for
7 the rat to the Fischer 344 rats. Oh, sorry. I had to --
8 oh, no, they kept the same.

9 They changed the model for the mice not the rats.
10 Sorry. And these were larger doses. And the larger doses
11 was -- or the doses were between 150 and 600 milligrams
12 per kilograms per day. The dam growth rate was
13 significantly inhibited. But the loss in dam weight was
14 related to resorption of the fetuses and not maternal
15 intoxication in both the mice and rats.

16 In the Abel study, which was conducted in 1999,
17 Long-Evans rats were treated with 10 or 25 mg/kg of THC,
18 presumably by gavage from GD 6 to parturition. The THC
19 lowered the maternal weight gain -- or the results of the
20 study indicated that THC lowered the maternal weight gain
21 and the weights of the offspring at birth, and at 21 days
22 of age, but it did not affect the litter size.

23 There was a study conducted by Hutchings in 1987,
24 where there was up to 20 Wistar rats treated with up to 50
25 mg/kg of THC during gestation GD 8 to GD 22. In this

1 study the pups were actually cross-fostered to untreated
2 dams. And then the study showed that there was a -- there
3 was a decrease in maternal food and water intake in the
4 THC-treated groups.

5 The THC-treated groups produced embryolethality
6 and fetotoxicity. But the extent to which these affects
7 is due to the THC or what the maternal toxicity needs to
8 be considered. Although THC did not significantly reduce
9 the birth weight independent of the maternal
10 undernutrition, it did produce dose-related effects on the
11 rate of growth.

12 Whereas, the body weights of the pair-fed
13 controls caught up to those not treated group within a
14 couple days. The body weights of the 50 mg/kg group were
15 significantly less than those not -- in the not treated
16 group throughout most of the study.

17 By comparison, the THC 15 mg/kg group showed
18 inhibited growth only during the first five days following
19 the growth spurt, so they caught up to the controls by day
20 11 of life. And by postnatal day 32, there were no
21 significant differences amongst groups. So although the
22 animals did have decreased birth weight that they -- when
23 cross-fostered to -- when they were not exposed
24 postnatally, they -- their weight resumed to normal.

25 The Fleischman paper in 1980, they used Fischer

1 rats, or CD-1 mice. And treated the animals from GD 6 to
2 GD 15 up to -- let's see the rats were treated up to 50
3 mg/kg per day and the mice were treated up 600 mg/kg per
4 day. And for the control animals, they were either Sham
5 treated or treated with sesame oil control. The animals
6 were sacrificed at approximately ten per group during
7 gestation. And there was no signs of intoxication in the
8 dams and the growth rates were normal in all the studies.

9 In both rats and mice, there was a decrease in
10 the number of live fetuses per litter and increased
11 resorptions in all treated groups. But the statistics
12 were not reported for the mice cohorts, but they were
13 reported for the rats. And thus in this study,
14 embryocidal effects were observed in both the rats and
15 mice.

16 The last paper I'm going to review is the Wright
17 paper, where rats were treated with a lower dose of THC at
18 5 -- up to 5 mg/kg per day at various time points. Mating
19 and infertility indices were similar for controlled and
20 treatment groups, but there's no difference in -- between
21 the control and treatment groups were seen. And so that
22 may be a result of the -- due to the lower concentrations
23 that were used in the study. The average number of pups
24 delivered viable at birth did not differ among the control
25 and treated groups. And the pup survival was unaffected

1 by treatment. And there's no evidence that teratogenic
2 activities obtained for either the rats -- for -- in the
3 rats.

4 This paper also covered New Zealand white
5 rabbits -- or a study in New Zealand white rabbits that
6 were treated. And there was a decrease in weight gain in
7 the mothers. And similar to rats, there was no evidence
8 of teratogenic activities in rabbits. However, there was
9 a decrease in implantation sites and decrease in viable
10 fetuses in litter.

11 And so these oral studies show that there is --
12 there is a trend that at -- that there is a decrease in
13 body weights. But however, due to the limitations of some
14 of the studies, whether it's the number of animals or
15 the -- the number of animals or that the statistics were
16 conducted -- or calculated per group versus per litter
17 calls into question whether or not -- whether -- the
18 clarity of whether there is a direct effect.

19 In the injected studies were conducted in mice,
20 rats, hamsters, and monkeys. And so this was not
21 necessarily the relevant route of exposure in humans. But
22 in most of these studies, maternal toxicity was not
23 reported. But those that did showed a decrease in weight
24 gain. And the number of animals in the study were also
25 small. In general, the studies show that THC was

1 embryocidal as well, and -- but for the same reason above,
2 it could be that embryocidal effects were due to maternal
3 toxicity.

4 The one study I did want to discuss a little
5 further was the one conducted in the rhesus monkey by Asch
6 and Smith in 1986. And this was a study in which there
7 was only five animals per group. And they were assigned
8 to either vehicle or 2.5 mg/kg THC. And so in the THC
9 treated group, there were three early abortions, one
10 stillborn out of the five treated monkeys in the control
11 group.

12 Now the -- and there was a paper that was not in
13 our packet, but that I was made aware of was by Henry et
14 al., which looked at the pregnancy loss in rhesus monkeys
15 at the California National Primate Research Center. And
16 it showed that the pregnancy loss in rhesus is
17 approximately 17 percent and it's a U shaped -- it's U
18 shaped, in that you have more losses early and late in
19 pregnancy. And so the average in the first trimester,
20 which is generally through gestation day 50, is about five
21 percent.

22 And so there is variability in the study just
23 because there is a small number -- very small number of
24 animals used in this of the studies And so, while it may
25 appear that there is a test article effect due to the

1 number of animals on this study is called into question
2 whether it may potentially be based on the historical
3 rates.

4 For immune system effects, there was one study
5 that was conducted in vivo that showed that the EC -- the
6 endocannabinoid system had a direct effect on the immune
7 system. And, you know, for this one the in vivo study of
8 pregnant mice, tube, or group were treated with up to 50
9 mg/kg THC by IP injection. And THC had a profound effect
10 on the fetus as evidenced by the decrease in thymic
11 cellularity on gestation day -- GD 16 -- post-gestation
12 day 16, 17, 18 and post-gestational day one with marked
13 alterations in the T cell subpopulations.

14 But this was based on one study and one species.
15 And so further studies probably will need to be conducted
16 to validate these experiments due to a limited number of
17 animals as well as the species.

18 The last is the effects on bone growth. As
19 mentioned, the endocannabinoid system has been implicated
20 in the regulation -- regulating the bone mass. A few
21 studies were conducted to show that THC had an effect on
22 both growth indirectly. And so -- and the one paper -- or
23 one paper cited was Wasserman in 2015 that conducted
24 several in vitro experiments and it also had in vivo
25 component to the experiment, where double CB1 or CB2

1 knockout mice were utilized.

2 And so the mice were dosed with up to 5 mg/kg per
3 day intra -- I.P. -- by I.P. between weeks five and 11,
4 and showed that there was a -- and showed that THC slows
5 the skeletal elongation of the females in the wild type
6 and CB2-deficient mice, but not the CB1-deficient mice.

7 And so while this proposes an interesting
8 mechanism on the effect of bone growth, the study was
9 conducted in non-pregnant mice, and the number of animals
10 was not noted. And this mechanism is not -- has not been
11 evaluated in other species, and therefore the relevance to
12 humans is unknown.

13 So similar to the human studies, it's like there
14 is -- there seems to be a trend where there may be --
15 where THC may result in a decrease in birth weight.
16 The -- there are limitations in the study designs, whether
17 it's the -- you know, how -- the number of animals,
18 whether maternal toxicity was evaluated or there was a
19 limited number of species. So it's difficult to make a
20 definitive conclusion as to whether THC has a clear effect
21 on the developmental toxicity.

22 CHAIRPERSON LUDERER: Thank you very much for
23 that discussion. Do we have any -- actually, why don't --
24 since I'm the secondary discussant, I'll briefly talk
25 about my overview of these studies and then we'll have

1 time for panel comments and questions.

2 COMMITTEE MEMBER AUYEUNG-KIM: Okay.

3 CHAIRPERSON LUDERER: So I agree with the
4 limitations that you noted. I agree that there were
5 actually many limitations in terms of the studies -- the N
6 per group, the way that data were analyzed, in terms of
7 not adjusting for litter effects. And, you know -- and as
8 well as other limitations that you noted. As well, that
9 the earlier studies that really looked -- that looked at
10 general pregnancy outcomes were -- suffered from those
11 deficits I think in particular.

12 I think I maybe put some more -- was more
13 convinced possibly by the two more recent studies, the
14 study looking at immune system development, as well as the
15 bone development. I think because those studies looked at
16 very -- at more specific endpoints focusing on a
17 particular system, and analyzed some very -- made some
18 very interesting mechanistic observations that I think
19 make sense in terms of what we know about the role of
20 cannabinoids and the cannabinoid receptors in terms of
21 immune development and bone development.

22 And in the Wasserman study, although I agree that
23 bone -- that they were looking at postnatal exposures and
24 not prenatal, I think that to me, I mean, development does
25 not end at birth. So the animals were maybe peripubertal,

1 based on the edge when they started dosing. So I would
2 still consider that a developmental study, although, it's
3 not prenatal development.

4 And they did find significant effects on bone
5 growth and were able to show the relationship with the
6 C -- the CB -- the cannabinoid receptor knockouts that
7 they were specifically due to effects on cannabinoid
8 receptor binding.

9 So in that -- taking the -- that database as a
10 whole, and, in particular, I think maybe those -- the two
11 latter studies I was -- I think that the weight of the
12 evidence supports that there is developmental toxicity in
13 the -- you know, in the animal models, based on the weight
14 of the evidence.

15 Do we have questions, comments from other members
16 of the Board?

17 Then we can -- should we move on to the next set
18 of discussants, which is going to be the
19 neurodevelopmental epidemiological studies. So Dr.
20 Hertz-Picciotto is the primary discussant for those.

21 CHIEF COUNSEL MONAHAN CUMMINGS: It needs to be
22 really close to your mouth.

23 COMMITTEE MEMBER HERTZ-PICCIOTTO: Oh, it needs
24 to be really close?

25 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: All right.

2 I hear an echo, but it's good for you. I'll do
3 it.

4 Okay. It makes it a little harder to see my
5 notes. Let me get my glasses, so...

6 Okay. So in my evaluation of studies, my
7 approach is to really focus on where the really high
8 quality studies, the ones that I want to -- that I would
9 really utilize in any kind of decision making. And so
10 I -- I tend to go through a lot of the studies, and I've
11 gone through their approach to their analysis, their
12 design, their exclusions, and kind of the logic of their
13 conclusions when they do draw conclusions. And it
14 actually narrows down 68 studies to a much smaller number.

15 So let me just talk a little bit about the
16 issues. So -- and other people starting with Suzan and
17 other people who've spoken have brought up some of these.
18 But some of the major issues are the co-exposures and how
19 do you disentangle cannabis use from tobacco use, cocaine,
20 so many of these studies looked at four substances and
21 sometimes even and others, which were tobacco, cannabis,
22 alcohol, and cocaine.

23 And so some of these studies actually cocaine was
24 their main -- the main thing they were looking at, at
25 least one or maybe two studies of that type.

1 Then there's sort of their process for screening
2 for confounders. And this is actually something where
3 very few studies actually use correct epidemiologic
4 approaches for deciding what factors to control for in the
5 models. You know, I would say there wasn't more than a
6 handful of studies that -- maybe not even that many, that
7 actually looked at whether addition or removal of the
8 confounder changes the main effect of interest.

9 Most of them just used statistical significance
10 for the relationship between that confounder and the
11 outcome. And you can -- particularly in the small study,
12 you can miss true confounders that way, because they won't
13 reach statistical significance. And yet, they could be --
14 they could actually by having them in the model, it
15 actually alters your conclusions -- your results of
16 your -- of what we're interested in here, the cannabis
17 association with the outcomes.

18 And then -- let's see if I can read my
19 handwriting.

20 Oh, and then some of the studies also on this
21 topic of what you control for as a confounder, some of
22 them adjusted for intermediate variables, but they weren't
23 doing a mediation analysis. Now, there's some that
24 actually did do mediation analyses, and that was kind of
25 their -- their main point was looking for whether an

1 effect of cannabis on, let's say, an outcome at age 14 was
2 mediated by say child depression or inattention. So that
3 was another type of study, but if they were not
4 specifically focusing on a mediation analysis, but they
5 adjusted for something that could be an intermediate, like
6 low birth weight, which is one of the reproductive
7 outcomes that we've heard about, then that can introduce
8 bias into what you're really interested in, which I would
9 say in most cases is the total effect, not the direct
10 effect that's not through the mediating -- the mediating
11 variable.

12 So those were issues that I looked at on --
13 throughout the literature. And -- and so with regard to
14 these major cohorts that have been mentioned -- and I'm
15 going to add one. So there was the Ottawa prenatal -- I
16 forgot what the PPS stands for, but the Ottawa study.
17 There's the Pittsburgh study. There's the Gen R study,
18 which was from the Netherlands. And then there was also
19 a -- I think it was Boston. There weren't very many, but
20 there were a number of papers -- a small number of papers
21 from that cohort.

22 And there were a few things about these studies
23 that I just want to say in -- on the positive side, the
24 quantitative aspects, these studies did quantify the use
25 of cannabis. And so, for instance, in the maternal health

1 practices study, the one from Pittsburgh, they actually
2 interviewed the mother three times, at the end of each
3 trimester to get her, and I believe also -- they also got
4 the father's intake use of cannabis in each trimester.

5 So they were actually able to do these very nice
6 trimester-specific analyses, which I think were very
7 informative. And so that was really a great thing about
8 the study.

9 The Ottawa study also had quantitative data, but
10 I believe they didn't -- I think they did not have the
11 timing issues. And I -- I forgot -- I get confused
12 sometimes.

13 The Gen R study also had timing. And they also
14 did, not just self-report, but they -- and, in fact, so
15 did the Pittsburgh study. They did a biomarker. They did
16 urine analysis. And one of them also did meconium, which
17 actually has some issues, because meconium may be getting
18 much earlier exposures because of the lipophilic nature of
19 cannabis of some of the cannabinoids.

20 And so all of those were really strong positive
21 things. I got very frustrated with the analysis that was
22 done by the Ottawa team, so they -- many of their -- many
23 of their papers did an analysis where they looked at the
24 exposure to cannabis as the outcome and then they looked
25 at how all the other factors could predict the exposure.

1 And that's a problematic analysis, because you've got on
2 the same side of the equation the actual outcomes that
3 you're interested in, and the covariates, but you really
4 want the covariates. You're really interested in the
5 covariates, looking at how they are operating
6 independently of -- how cannabis is operating
7 independently of the -- of those other factors. And
8 that's not what you get.

9 You've really got the wrong structure to really
10 look at this properly. And so everything about, you know,
11 their conclusions I feel a little skeptical. Like, I
12 don't really know. Would this -- would we get the same
13 findings if we turned this around and did it the way we
14 usually think of it? The predictors come before
15 temporally, the outcome in your model.

16 So I tended to not put a lot -- as much, you
17 know, weight and confidence in those studies. Although I
18 will say, these people are -- they're from the neuropsych
19 field. They really know their measures. They really
20 thought -- they had very thoughtful ideas about
21 interpretation of their findings. And then they sometimes
22 did interesting follow-up analyses to -- to identify, for
23 instance, these pathways that different factors could be
24 operating through.

25 The other point about -- just about -- again,

1 about the studies in general. The Gen R study, it
2 actually had very few cannabis users who were not using
3 tobacco. Now, that wasn't true of the other three. The
4 other three actually had a little bit more, I would say,
5 ability to separate cannabis from tobacco. But that one
6 really it was very difficult, because it was like 84
7 percent of cannabis users who also used tobacco.

8 And one way that some of these studies kind of
9 got around that issue is that they looked at the effect of
10 tobacco and then they looked at the impact of tobacco plus
11 cannabis and their -- and I'll point out a couple of
12 outcomes where that -- they really saw the difference
13 among the tobacco users. And I -- I think it's
14 interesting, because in general, most of these kinds of
15 neurodevelopmental outcomes are not the result of one
16 exposure. There's multiple exposures that tend to operate
17 together whenever you get sometimes complex diseases.

18 And when we live in a world with tons of
19 exposures that we don't have any control over, as well as
20 some that we do have a certain amount of control over, we
21 really have to think of it as a multifactorial process
22 that leads to these outcomes.

23 A few other points here. Most of the studies did
24 not address family mental health. And I bring that up
25 because cannabis is sometimes used by people with mental

1 health disturbances. And, in particular, I know it's
2 certainly true among people with actually psychoses that
3 many of them feel they can control their symptoms better
4 with cannabis than they can with the pharmaceuticals that
5 they are getting prescribed by their physicians.

6 And so it's -- it becomes this situation that you
7 see in the pharm -- when you're looking at pharmacologic
8 agents, where it -- the indica -- the indication for use,
9 in this case, may be the reason that people may use it
10 versus the actual substance that they're taking.

11 And so that's -- that's a question I think -- and
12 because some of these conditions do have a genetic
13 component, knowing something about the family history of
14 those conditions is important. And I would say that
15 almost none of these studies had that information.

16 Actually, the Pittsburgh study did, and they
17 sometimes controlled for some of the study -- some of the
18 papers actually did control for certain aspects of the
19 maternal mental health status. They had variables like
20 depression and hostility and a few others.

21 So that's kind of the background to this. And
22 then just -- I'm going to -- I'm not going to go through
23 study by study. There's -- there's a lot. But I am going
24 to kind of go through the outcome by outcome and just kind
25 of give a few -- a few points about those.

1 So the way that this -- these were presented --
2 and I -- I think what you presented was pretty much the
3 way it was in the booklet, right. So it starts with
4 infancy and then we go through -- or no, the infant --
5 yeah, because the infancy ones are totally different from
6 all the other ones, so -- okay.

7 So -- well, one of the issues with the infancy
8 studies is that almost all of them looked at this
9 Brazelton Neonatal Behavioral Assessment Scale, the BNBAS.
10 And in the field of child development and neuropsych,
11 that's actually not considered a particularly good -- it's
12 a measure that you can do. And if there -- if there's any
13 validity to it, it might be at 30 months. And so a lot of
14 studies did it at like 48 hours or 72 hours, and that sort
15 of thing.

16 But even at 30 days, there are many people --
17 many people in the field who say, you know, it doesn't
18 predict anything. Like, it just doesn't -- the studies --
19 except for the people who designed it, nobody else finds
20 it really helpful.

21 So I was -- I was a little harsh here, but I
22 basically said that out of all of the studies that were
23 done, there were sort of two that I felt had -- had some,
24 you know, I kind of highlighted, because I felt -- I felt
25 better about them. One was a particularly large study and

1 that was the -- that was -- that was the Pittsburgh one.
2 And they -- they had like -- what's the number? I forget.
3 So they have about 600 kids in that. And they -- this
4 was -- they used a different scale at eight months.

5 And so they actually -- and it was a very clear
6 study. The methods were very clearly put together. And
7 basically they saw that in the people who used it in the
8 third trimester at a high level of one or more joints per
9 day - that was the metric they had - they actually saw no
10 association.

11 Oh, no, I'm sorry. They actually did see a
12 reduced mental developmental index on the Bailey Scales
13 that was large, but they say nothing when they looked at
14 any use at all during pregnancy. So this is one where the
15 timing actually made a difference.

16 Sorry, I said it wrong the first time. But
17 they -- they -- then they looked at any use, they saw
18 nothing. But when they looked at that trimester-specific
19 thing, they saw something.

20 And then the other -- the other study that I
21 thought was interesting from this was actually a study
22 that was done in Jamaica, where there's a way in which
23 they smoke it called ganja. And they actually also found
24 no association. They did not have timing of exposure, so
25 they were looking at the overall. And they did not see

1 effect.

2 And the authors were suggesting that the home
3 environment, which is connected to it as part of the
4 culture, which is very -- kind of a very positive home
5 environment, that people use it in a very social way, that
6 that actually maybe might have countered any, if there
7 were negative effects. And that was one of the
8 conclusions they drew.

9 But the women themselves felt that use of it
10 increased their appetite, and hence their food intake. It
11 relieved their nausea and it permitted them to accomplish
12 child care and household tasks better. So it's kind of an
13 interesting perspective.

14 Okay. So that was the infancy. So now, I'm
15 going to go through the different outcomes that are --
16 were looked at at different age groups. And I'm starting
17 with cognition here. And this -- there were actually
18 quite a few studies of cognition that were strong. And I
19 would -- would put some weight to seven of them. This is
20 the most I saw with any of these outcomes. So there were
21 seven studies that seemed useful.

22 And some of them -- so -- and some of them saw no
23 associations, some of them did see some associations. But
24 again, the Pittsburgh study, which didn't see anything
25 when they did it kind of overall, once they broke it down

1 by trimester, they actually found not much, but they found
2 two sort of marginal associations with some subscales
3 based on second trimester use. But one was short-term
4 memory and the other was -- no, they both seem to be
5 short-term memory. Sorry. Something different about
6 these.

7 Oh, oh, yeah. It's one thing. It was use during
8 the second trimester with short-term memory. It also
9 turned out that current use was also associated with
10 short-term memory. But that -- we weren't really
11 concerned with the postnatal exposure, so...

12 And -- but they also, yeah, didn't give us
13 confidence intervals. So that was a little bit
14 frustrating about that study. So that one I had a kind of
15 maybe.

16 The next one that was interesting was -- was
17 again that another one coming out of the Pittsburgh study
18 at eight months, and large sample size here. They had low
19 correlations in that study between marijuana use and
20 either alcohol or cigarettes. So this was a study that
21 kind of allowed that disentanglement of the two. And they
22 saw third trimester the high level exposure reduced the
23 mental developmental index. So I guess two studies were
24 both looking at the same outcome there. Maybe that's only
25 one.

1 There was no association with global intelligence
2 in the -- this is the Ottawa study. This is one where
3 they didn't do their weird analysis -- or maybe they did a
4 little bit. But still they -- there were enough other
5 good things about the way they did it this time.

6 And they did see some results from executive
7 function, which actually is in another one. But they also
8 saw spatial and visual functioning, which -- sorry. Those
9 are the ones that showed no -- sorry. I'm sorry. The
10 ones that did show an association were the picture
11 completion in the block design. So there were a couple
12 that did and a couple that didn't in that one.

13 A few other studies did start to see things at a
14 little bit -- as the kids started to get older. So when
15 we start looking at six-year olds, there's several studies
16 that are seeing various aspects of verbal reasoning,
17 and -- trouble focusing my eyes -- verbal reasoning and
18 quantitative scales.

19 And again, this was specific trimesters. So
20 first trimester, heavy use with poor verbal reasoning.
21 Second trimester heavy use with the short-term memory and
22 the quantitative scale, and third trimester with
23 actually -- yeah, with the quantitative scale as well.

24 They did not see evidence of a dose response. It
25 was the heavy users. So it really was to a linear type of

1 relationship, but they did see that the heavy users were
2 definitely at higher risk of deficits.

3 And then at ten years -- there were several
4 studies at ten years that also saw similar kinds of
5 findings. And again, this is a study where they actually
6 did have more data about the home environment and the
7 social factors that -- that some of the other studies
8 didn't adjust for. So I tended to put a little more
9 weight on those studies. And then again at 14 there's
10 some similar kinds of findings. So it seems as if it was
11 less in the early childhood period. It was more in the
12 middle childhood and adolescents where these findings on
13 cognition showed up.

14 For attention, there were only three studies that
15 seemed really strong. And one of them was one of the
16 Ottawa studies. And that was looking at the -- some of
17 the McCarthy Scales. There was -- there was -- there was
18 consistency across three different measures that they had
19 that were getting at attention. And so I thought that
20 because there were multiple measures -- they used the
21 Conner Parent Rating Scale, and they used the McCarthy
22 Scale, and they used Gordon Diagnostic Scale for
23 vigilance. So that gave me a little more confidence
24 that -- the three of the different instruments.

25 And then the best study, I thought, on attention

1 really was from the Magee-Womens Hospital, the Pittsburgh
2 study. And this was looking at the errors of commission
3 and omission on one of these tests -- these computerized
4 automated tests of attention. And they adjusted for the
5 maternal psychosocial factors. This is one of the studies
6 where they did that, the depression, and hostility, and
7 life events. They had actually minimal losses to follow
8 up. So a lot of really good things.

9 I think the only issue is that this study -- this
10 is a population of -- in general, high risk. This is a
11 low income, largely African-American population. And so
12 there's a probability that there were other vulnerability
13 factors that were contributing, but it was very much the
14 cannabis and not the other -- other substances that was --
15 that was linked.

16 There were two studies that -- of that same
17 cohort also looking at some of the same outcomes, but
18 doing their analysis in different ways and still came up
19 with really strong results. So that was the attention
20 part.

21 Behaviors, other than attention, two critical
22 studies that I was particularly impressed with. And these
23 were -- again, this is at ten years. And the ten year
24 olds were tested for inattention, impulsivity, and
25 hyperactivity. And that those were associated with first

1 and third trimester use. And the inattention, in
2 particular, was robust no matter what confounders they put
3 in.

4 They also looked at delinquency behaviors -- or
5 delinquent behaviors. And they had parent reports and
6 teacher reports. And those were consistent, which again
7 strengthens those outcomes and trimester specific aspects.

8 And the other study was from the Gen R cohort.
9 And it was -- it was interesting, because the -- they saw
10 strong associations of cannabis during pregnancy with
11 externalizing behaviors. And then they did an analysis
12 of be -- of pre-pregnancy cannabis use. And then they
13 looked at tobacco use throughout pregnancy. And they saw
14 some similar effects as they saw for cannabis during
15 pregnancy. And so they concluded that the maternal
16 cannabis result was a pure artifact.

17 But I wasn't entirely convinced, partly because
18 they -- they -- they had such a high proportion of
19 cannabis users who were tobacco -- who were smoking
20 tobacco. And the difference between -- they also -- they
21 saw things for the -- for the teacher report and the child
22 reporting their own behaviors, but they didn't see
23 anything from maternal report. And I think it's kind of
24 telling that the child's own report about their behaviors
25 would seem to me to be more accurate than moms may not

1 know what teenagers are doing all of the time. So that,
2 to me, seems an interesting example of who do you believe.

3 They also -- the paternal effect that they saw,
4 that was another reason why they wanted to reject the
5 idea, the maternal effect. But on the other hand,
6 epigenetics is one possibility. And, of course, there's a
7 high correlation between maternal and paternal cannabis
8 use, which they didn't address and they didn't try to
9 adjust for each other. So I think there's -- there's a
10 kernel there, especially with the child report. So that's
11 the behaviors.

12 Then we get to the psychiatric symptoms. And
13 here, I found about four studies that I thought were
14 compelling or interesting enough to put some weight on.
15 There was one that found cannabis had no association
16 with -- in girls. And the age of these girls -- I'm
17 sorry, let me just check this -- is -- was -- oh. Okay.
18 This is anxiety in 18-month olds, which I thought was kind
19 of interesting, because it's kind of a young age.

20 So there was no association, regardless of the
21 tobacco, with girls with their anxiety or depressive
22 scores as toddlers, I guess you'd call them. But for
23 boys, they did -- they saw kind of a borderline effect
24 that was inverse. In other words, it -- they seemed less
25 anxious and less depressed.

1 And it was a P of 0.06. And so the author said
2 there's no association. But I think, you know, these
3 sorts of things when you're kind of in that direction,
4 it's worth taking note. And this magnitude effect was
5 similar to the effect of tobacco alone, which they
6 actually spent a lot of time talking about.

7 So anyway, that's -- that was one of them.

8 Then another one for psychiatric symptoms was --
9 the next one was in childhood at age ten years. And it's
10 self-report of depression, and it's -- no, I'm sorry.
11 It's depressive symptoms and it's kind of a measure of
12 general distress. But it's not a clinical diagnosis of
13 depression. It's something less than that most likely.

14 And so there was a strong association,
15 particularly with first trimester prenatal marijuana use.
16 And there was a dose response for those with no exposure,
17 and then low, moderate, and high -- heavier exposures,
18 there was a very strong trend of those scores for
19 depressive symptoms. And there were two different
20 instruments that were -- gave similar results.

21 Then the next one is similar. I'm not going to
22 go through all of these. But there were basically four
23 studies that seemed to suggest psychopathology as an
24 outcome. Well, three of them I guess suggesting it and
25 the other one not so -- oh, I'm sorry, no, two of them.

1 So this is very mixed, in fact. This was a very mixed set
2 of outcomes.

3 And then there's -- you know, there's one study
4 that suggested head circumference. Moving now to --
5 actually before I talk about CNS and motor, there were
6 also these -- a bunch of studies that had to do with
7 substance use by the offspring, the children. And I -- I
8 really have trouble considering that a neuro -- a
9 neurodevelopmental outcome, because substance use has so
10 many social factors that are going to contribute to
11 substance use, you know, especially in teenagers, that the
12 idea that the prenatal exposure of -- to the substance is
13 somehow the reason for why a child would pick up drugs.

14 It seems likely to be swamped by all of the
15 social factors that are going on. They could be in the
16 home as well. But I -- I think that we're interested in
17 biological effects when we're talking about listing things
18 and not the social aspects of how children might respond
19 to their parents' behaviors in the home. So I kind of
20 dismiss that as an outcome that I would not consider part
21 of my decision making for neurodevelopmental toxicity in
22 humans.

23 And then the last two things were motor and
24 central nervous system. And there's a study in each of
25 those that's -- that, to me, had like no obvious biases

1 where they were looking at -- head circumference is for
2 use of substances. And there was a trend towards smaller
3 head circumference that did not reach statistical
4 significance. Head circumference is actually a very good
5 measure of brain volume, because there's not a lot else in
6 head. So that's the rationale on that study.

7 And then the motor studies, there's several motor
8 studies. But most of them -- or there's only three
9 actually. And gross motor was not associated. And
10 there's no association with the -- at 19-month olds -- 9
11 months and 19-month olds. So out of a few studies,
12 there's -- that's not a particularly compelling outcome as
13 far as does the evidence support an association.

14 So all in all, I think the -- you know, it's a
15 mixed literature. I think the strongest data is in the
16 area of cognition, and attention, and the psychiatric
17 symptoms that seem to be maybe a little bit on the -- and
18 maybe it's very hard in a way to actually kind of look at
19 that at really young kids, but definitely by mid to late
20 childhood and adolescence that seems to -- seems to be
21 showing up.

22 And one of the questions I think is worth
23 thinking about is that because medical marijuana, one of
24 its uses is to curb nausea, you know, particularly in
25 people who are undergoing chemotherapy, and nausea is one

1 of the phenomena that you have in pregnancy -- many women
2 experience during pregnancy, that it's very -- it's
3 really -- this -- that makes this very important. Because
4 if there are consequences for the child, and that's what
5 some of this use might be -- and none of these studies
6 talked about why are you using marijuana? Is it purely
7 recreational? Do you have any kind of medical condition
8 that you're using it for, which I thought was interesting.

9 But, of course, many of these studies started
10 quite a long time ago, maybe before even medical marijuana
11 became a thing.

12 So I -- I think it's really important to -- for
13 messaging for -- you know, for clinicians and for the
14 public health community with regard to use during
15 pregnancy, which might seem like a good idea if you're
16 having a really bad case of nausea. And, of course,
17 nausea varies among women, but there is a subset of women
18 who tend to have nausea all the way through their
19 pregnancy from the practically day of conception till
20 delivery.

21 And I had a friend who sat around with a box of
22 saltines. And that's all she ate her whole pregnancy, it
23 seemed like. Her child came out pretty good for --
24 considering the nutritional aspect of that. But it was
25 striking to me to see that, you know, actually one of my

1 friends had that experience. So nausea in pregnancy is
2 a -- is a serious thing that people have to deal with. So
3 I think that is all that I have to say.

4 CHAIRPERSON LUDERER: Okay. Thank you very much,
5 Dr. Hertz-Picciotto.

6 Our -- the secondary discussant for this topic is
7 Dr. Nazmi. So why don't we hear from him and then we can
8 have further discussion as a panel.

9 COMMITTEE MEMBER NAZMI: Thanks very much.

10 In the -- in the interest of time and not being
11 redundant, I won't comment on individual studies. I think
12 our colleagues have done a pretty good job of covering
13 much of the literature. So let me just remark on a few of
14 my notes regarding kind of the totality of the literature
15 that we were provided and that we reviewed related to the
16 neurodevelopmental outcomes associated with THC exposure.
17 And I will stick to the context of kind of the
18 conventional criteria for causation as at least one of our
19 colleagues has done maybe in a little bit more detail.

20 But one point drawing from Dr. Hertz-Picciotto's
21 comments regarding the multifactorial nature of this
22 exposure is really important to keep in mind, because it
23 can be really challenging to disambiguate all of the
24 variables in a lot of the larger studies, especially in
25 their statistical models and in their kind of just

1 conceptual modeling. It's -- it's I think worth keeping
2 in mind that it's not always easy to kind of dissect and
3 look at those -- look at those exposures very well.

4 A few -- let me start with a couple of the
5 caveats of reviewing this literature that I just kind of
6 noted. One, as a few others have mentioned, related to
7 study design, there were a few large studies in those
8 three or -- those three main cohorts that we've been
9 looking at. Many of the studies were a little bit -- were
10 quite small, and some of the studies populations were
11 quite homogenous.

12 The study statistical models varied quite a bit
13 from, you know, some studies that basically didn't do
14 almost any statistical modeling and statistical analysis
15 to some, you know, robust models that took into account a
16 lot of confounding factors, some ideation analysis, and so
17 on.

18 The second caveat I'd like to mention is the
19 assessment of THC use or THC ingestion. As others have
20 also mentioned, there are some problems with validity and
21 reproducibility of some of the methods related to
22 frequency of use, reporting issues, potential bias,
23 especially given that many of the populations seem to have
24 a very low prevalence of use.

25 Also concentrations. Concentrations in some of

1 the studies, the assessment of it seemed relatively
2 ambiguous, and especially in some of the newer studies,
3 where perhaps the cannabis market was a little bit larger
4 than in the older seventies and eighties studies. I think
5 the routes of administration, there are so many different
6 methods of ingesting THC. I think some of that is worth
7 bearing in mind in the research, especially moving
8 forward. As we all know, the cannabis market has totally
9 exploded, so the routes of ingestion from here on going
10 forward are probably only going to increase.

11 And then also a final caveat, regarding
12 psychological, social, and cultural factors that are
13 really difficult to measure. And not only difficult to
14 measure and not only difficult to report on, but also
15 really difficult to quantify. We know that there are
16 pretty significant differences in use according to
17 geography, socioeconomic status, and other factors, but
18 it's not really clear how these differences could
19 impact -- could impact outcomes.

20 So with those kind of general caveats, let me
21 start with a couple of these criteria for causation that
22 I'd like to kind of comment on just broadly. First,
23 being -- the first two being biological plausibility and
24 temporality of THC and the neurodevelopmental outcomes.

25 We know the fundamental mechanisms. They were

1 summarized by the OEHHA staff and some of our colleagues
2 pretty thoroughly. We know that there are a number of
3 known and some hypothesized pathways through which THC
4 acts on neurodevelopmental endpoints.

5 And just transitioning into the literature, the
6 consistency between the studies. Even though I took a
7 similar approach to Dr. Hertz-Picciotto in that, if you
8 look at the number of studies, there were dozens of
9 studies, I think 68 studies. But when you start to look
10 at the quality of the individual studies, you see really
11 different study designs, different ways of approaching the
12 research question.

13 Taken together, if I can just be general, to me,
14 the results largely indicate significant effects of THC on
15 neurodevelopmental outcomes. Nearly all studies showed
16 significant effects. And, you know, given a -- given a
17 relatively broad array of neurodevelopmental outcomes,
18 attention, intelligence, achievement, mat -- CNS
19 maturation, neuroimaging, function and processing, some
20 behavior studies, mood studies, the findings, the way I
21 read it at least, seem to suggest that there is the
22 greatest -- there is a great -- there's a greater risk
23 during exposure during the first trimester.

24 Moving on to strength of association. In
25 general, I might say that the strengths of association

1 suggested small to some studies maybe moderate effects of
2 exposure on neurodevelopmental outcomes. Some number --
3 some studies -- a smaller number studies showed no
4 detectable impact of THC. Some of them -- some of the
5 smaller studies showed significant effects, which is
6 complicated with smaller studies, because it -- it can
7 lead to a lot of imprecision, as we suggested before with
8 the confidence intervals.

9 But most studies, and many with robust models for
10 adjustment, and appropriate analysis, taking into account
11 a lot of confounders in different ways granted, did show
12 detectable risks -- detectable levels of risk difference
13 between THC exposed and unexposed, even given that many of
14 them were kind of dichotomous use or not use outcomes.

15 That's not to say that there were a few -- a few
16 studies that looked at dose response. And a small number
17 of studies that did look at dose response, there seemed to
18 be a suggestive dose response effect. Although, I'd say
19 that was -- that was a bit limited.

20 So in terms of the criteria for causation,
21 those -- those -- what, those four or five that are
22 reviewed, six, to me stood out as relatively consistent
23 things you could actually put your finger on, given the
24 large number of studies, even if you were to pare down the
25 studies and look at the ones that were a little bit higher

1 quality.

2 I also agree with Dr. Hertz-Picciotto about the
3 factors that we don't study, the reasons, the
4 psychological and the social, the home life as to -- as to
5 why these -- why these exposures occur, right? And the
6 multi-factorial nature in which they occur makes it a
7 really difficult thing to -- it's a behavioral -- it's a
8 behavioral exposure, which is inherently really difficult
9 to study.

10 So that's really all I have in terms of my notes.
11 I noted a large amount of consistency, which I found to
12 be -- in the evidence, which I found to be convincing for
13 neurodevelopmental outcomes.

14 CHAIRPERSON LUDERER: Thank you, Dr. Nazmi.
15 Dr. Woodruff.

16 COMMITTEE MEMBER WOODRUFF: Yeah. Thank you both
17 for doing that great summary of the epidemiological
18 literature. I just wanted to add to the point you were
19 saying. I really like that you brought up the issue about
20 the biological mechanisms by which this might occur. And
21 I just would note that there's also, given the
22 pharmacokinetics, and that THC is lipophilic, and the
23 brain is very fatty, particularly during the prenatal
24 period, that there's likelihood -- and I think there was
25 some -- some evidence of this, that there would be

1 accumulation in the brain where there are these
2 cannabinoid receptors. So those both sort of add strength
3 to this -- the science around that this is a
4 developmentally sensitive period, particularly for
5 neurodevelopment.

6 So -- and I just really appreciate you talking
7 about that -- the issue about we -- the general trend of
8 the relationships. And we would anticipate that there
9 would be some inconsistencies in the findings, because
10 these are humans, so the findings -- and the different
11 methods and every -- and different aspects of study
12 design. So looking across them as a whole, I think is --
13 it was very informative.

14 CHAIRPERSON LUDERER: Thank you. Any other
15 comments from other panel members?

16 No.

17 All right. Then we will move on to the
18 discussing the animal studies of neurodevelopmental
19 outcomes. So

20 COMMITTEE MEMBER WOODRUFF: Do you want me to go
21 first?

22 CHAIRPERSON LUDERER: No, I'm happy to go first.

23 So the -- so I'll be the primary discussant on
24 this. So I think overall, just quickly again summarizing
25 the database on the experimental animal studies of

1 neurodevelopment effects of exposure to cannabis or THC,
2 it's relatively extensive, with one study in monkeys,
3 three in mice, and 39 in rats, and four in zebrafish.

4 So the exposure routes included very few
5 inhalation exposure studies. Mostly oral, which are
6 obviously both routes that are relevant to humans, and
7 then a number of parenteral exposures, intravenous,
8 intraperitoneal, subcutaneous, which some of the authors
9 argued was more relevant to inhalation exposure in humans
10 than oral dosing would be. Of course, they did not talk
11 about inhalation -- why they did not do inhalation
12 however.

13 The -- most of these studies used the
14 delta-9-THC. Only a few of them, three studies, and that
15 was by the same group, exposed to cigarette smoke. And
16 there were whole body exposures, which, as has already
17 been discussed, may not be the best model. On the other
18 hand, I would argue that oral exposure is quite relevant.
19 And as many of the other panel members have already been
20 discussing is maybe becoming more relevant with the
21 explosion of cannabis products that we're having right
22 now.

23 And then couple -- one used hashish extract and
24 one cannabis extract. So really most of what I'm going to
25 be saying has to do with the THC exposure, just because

1 that's what most of the studies utilized. So there's
2 already been a lot said about study quality with the --
3 the pregnancy outcome, the developmental,
4 non-neurodevelopmental outcomes.

5 And so in terms of the neurodevelopmental
6 studies, strength of them is -- nearly all of them that
7 you would think that this would be obvious, but of the
8 pregnancy studies, utilized timed matings, I think I found
9 one where they did not apparently do that, even though
10 then they established a gestational day one.

11 Many studies controlled for litter size by
12 culling, so standardizing litter size, soon after birth,
13 which is a strength. Fewer of the prenatal exposure
14 studies controlled for effects of the THC on maternal
15 behavior by fostering pups to unexposed dams, but some of
16 them did do that.

17 None of -- almost none of the studies, or very
18 few of them, commented on randomization or blinding. And
19 the N per group, in general, was small for most of these
20 studies. This has already been commented on. Most of the
21 studies unfortunately that were -- did not use litter as
22 the unit of analysis or adjust for litter when exposures
23 occurred during gestation, lactation, or even
24 preconception.

25 Some studies didn't apparently adjust for

1 offspring, sex, or analyze male and female offspring
2 separately. So these are broadly just some of the
3 problems. And some studies actually only analyzed
4 offspring of one sex.

5 Finally, when adult female offspring were
6 analyzed, some of the studies utilized ovariectomy to
7 eliminate estrous cycle related changes that would
8 potentially confound the results.

9 Others tested on estrous -- the day of estrous --
10 of the estrous cycle, which I thought was the strongest
11 approach, and others on random estrous cycles stages, or
12 did not specify, which obviously those would be the weaker
13 approaches.

14 So I'm going to try to focus my comments on
15 studies that I thought were -- as others have done, that
16 were stronger. So generally compared male and female
17 differences, adjusted for litter, et cetera, some of the
18 other things that I've been talking about.

19 And I'm going to group them I think somewhat
20 similarly to how they were grouped in the document. So
21 starting out with activity, locomotor activity. So
22 multiple studies investigated motor activity, as well as
23 exploratory behaviors. And I'm going to focus on kind of
24 two groups of studies that came -- both came from --
25 appeared to be the same department and Universidad

1 Complutense in Madrid.

2 The first set of studies was those by Rubio,
3 Navarro, and co-workers. So that was from 19 -- Rubio
4 1995 and '98 and Navarro '94, where pregnant Wistar rats
5 were exposed to THC at doses of 0, 1, 5, or 20 milligram
6 per kilogram by the oral route.

7 And then another one where they used hashish
8 extract, also by the oral route. And all of these were in
9 the same dosing interval from gestational day five to
10 postnatal day 24.

11 So two of these studies do appear to be the same
12 animals, but some -- one study only reported on postnatal
13 day 70, where the other ones reported on earlier ages as
14 well. In both -- in all of these studies, or most of
15 them, they mentioned that the investigators were blinded
16 to experimental group, and that the females were tested in
17 the estrous stage of the estrous cycle. And they also
18 analyzed -- did two sets of analyses at least for several
19 of their studies, where they used pup as the unit of
20 analysis, and then they compared the results to using
21 litter as the unit of analysis. And they stated that the
22 results were similar, which I thought was a strength.
23 Although, they did not present as much detail about the
24 litter results.

25 They observed effects at the 1 and 5 milligram

1 per kilogram doses on locomotor activity, but not the
2 highest 20 milligram per kilogram dose. They found
3 increased locomotor activity in both sexes at postnatal
4 day 15 with those two doses, and in females, but not in
5 males at postnatal day 70, and in neither sex of the
6 intermediate ages of days 20, 30, and 40.

7 They also observed another behavior, which was
8 increased rearing in males at postnatal day 20 and in both
9 sexes at postnatal day 70.

10 They also did -- tested the animals in the
11 elevated plus maze and found that males had increased
12 exploration activity in that. And they tested emer --
13 used the defensive withdrawal test and found that there
14 was decreased emergence latency in the defensive
15 withdrawal test in that study as -- in -- as well, in
16 Rubio et al. '98. And in contrast, the study that looked
17 at the Hashish extract did not find effects on locomotor
18 activity.

19 The same group then did another kind of group of
20 studies that had similar strengths. And these were using
21 lower doses of THC, so 0.1, 0.5, and 2 milligrams per
22 kilogram, where the other study the lowest dose was one
23 that I just talked about, those studies. And it's the
24 same exposure window of gestational day five to postnatal
25 day 24.

1 However, in this group of studies, instead of
2 testing the females on estrous, they ovariectomized them
3 prior to testing, which I -- and they did not state at
4 what age or for -- how long before testing they did the
5 ovariectomies.

6 In these -- in this set of studies, which was
7 Moreno et al., 2003 and 2005 - I guess just two studies -
8 immobility was increased and locomotion was decreased. So
9 in contrast to the increased locomotor activity that was
10 observed with those higher doses, they saw decreased
11 locomotion in both sexes. And exploration was also
12 decreased in females at postnatal day 70 with greater --
13 as I said, greater effects at the lower doses.

14 They also in this study -- these studies
15 challenged with dopamine D2 receptor agonist apomorphine
16 and quinpirole, and observed increased immobility in the
17 males with that, but not in the females that were exposed
18 to THC developmentally. And they also treated with a CB1
19 inhibitor, and found decreased immobility in both sexes
20 with that treatment, but not the other -- no effects on
21 the other endpoints.

22 Finally, some of these studies looked at effects
23 on hypothalamic-pituitary-adrenal axis. And there were --
24 several of the studies found increased serum
25 corticosterone concentrations in females and either

1 reduced or unchanged corticosterone concentrations in
2 males. Corticotropin-releasing factor content was
3 increased in both sexes and one -- it was measured in one
4 of the studies, while some other pituitary hormones were
5 not affected.

6 So -- and they suggested that this affect on the
7 HPA axis could be an explanation for the sex differences
8 that they observed in these locomotor activity endpoints.
9 So taken together, I think the results by this group seem
10 to point to a -- potentially point to non-monotonic dose
11 response, where we're seeing that lower -- the lower
12 doses, less than one milligram, decreased locomotor
13 activity during the same dosing interval, while the
14 moderate doses increased activity. And then at the
15 highest dose, there was no affect.

16 Then I just wanted to -- since that was the
17 gestational and lactational exposure, I wanted to talk
18 about a couple of studies that looked at other exposure
19 windows for those same endpoints.

20 So there was another rat study by Silva et al.
21 where they used and I.V. exposure to 0.15 milligrams per
22 kilogram per day THC in Sprague-Dawley rats just during
23 gestation, so gestation day 1 to 21, and found no effect
24 of locomotor activity -- on locomotor activity in either
25 male or female offspring.

1 In this study, they did use the analysis by
2 litter -- the litter was the unit of analysis. And then
3 another study that was a more recent study, where they
4 examined the treatment of both parents during adolescence
5 with again a parenteral route, zero or one and a half
6 milligrams per kilogram THC during the adolescence of the
7 parents. And then they examined the F1 offspring and it
8 found decreased locomotor activity again. And this --
9 these Long Evans rats, but in the females only, kind of
10 similar to what we saw in some of the other studies I
11 mentioned. But they did not describe whether the litter
12 or the offspring was the unit of analysis.

13 Then finally with the activity, I wanted to
14 finish up with the three studies in zebrafish that support
15 neurodevelopmental effects of THC, where they -- that was
16 Ahmed 2018, Carty 2018, and Achenbach et al., 2018. And
17 they found altered locomotor activity in all three
18 studies. Two of them were using different loco --
19 assessing locomotor responses to visual stimuli. And
20 ones -- and one study in addition also observed changes in
21 motor neuron morphology, synaptic activity at the
22 neuromuscular junction and different -- effects on
23 locomotor responses to sound. So I think that those are
24 supportive of the mammalian studies.

25 So then moving on to tests of cognitive function.

1 There were several cognitive function domains that have
2 been studied. In general, there are not a lot of studies
3 that use the same tests. So it's a bit difficult to
4 compare the database that way. Kind of grouping them on
5 what they were looking at, I'll first talk about some
6 studies that looked at visual attention, which we've
7 already been talking about - effects on attention in the
8 neurodevelopmental epidemiologic data as well.

9 So the single primate study in the database,
10 which was the Golub et al. study from 1981, exposed female
11 rhesus monkeys 2.4 milligrams per kilogram per day
12 delta-9-THC in food treats for two years before mating and
13 then through lactation.

14 And they then tested the offspring at one and two
15 years of age. And at both ages, the offspring had
16 increased visual attention to novel images, but there was
17 no difference based on the developmental THC exposure for
18 familiar images compared to controls. But they did use
19 two different tests at the two time points, and they
20 didn't really say why they chose to do that. Maybe
21 someone else can shed light on that.

22 Studies -- then there were also some studies of
23 visual attention in rodents, also found effects. A study
24 by Silva et al. found that offspring of both sexes exposed
25 during gestation only to THC took more trials to complete

1 an attention task and completed the various phases of this
2 task at lower rates.

3 And another study by Levin et al. from 2019 found
4 that preconception exposure just to the father of -- with
5 THC decreased both male and female offspring performance
6 on an operant visual attention task in adulthood. So
7 those were the attention studies.

8 Then there were some studies of memory. And I'm
9 focusing on the -- on two of them, O'Shea and Mallet 2005
10 found that juvenile males that were exposed from postnatal
11 day four to 14, subcutaneously to THC, had no deficits in
12 spatial discrimination in a food-motivated double Y maze
13 at postnatal day 56. But when the task -- the more
14 complex task of this maze, they had decreased correct
15 choice on the delayed alternation task, which is a test of
16 working memory.

17 Similarly, in a study by Campolongo et al. from
18 2007, male rats were exposed to THC gestational day 15
19 through postnatal day nine via the mother. And they were
20 able to learn to avoid an aversive stimulus, which was a
21 foot shock during the training period. But then 24 hours
22 later, they had decreased ability to remember that foot
23 shock and therefore to avoid it.

24 And moreover, their short-term social memory was
25 also impaired. And this was the -- tested by the ability

1 to distinguish a novel from a familiar juvenile that they
2 had been exposed to for a five-minute training period 30
3 minutes later.

4 The next set of studies also -- these are also
5 endpoints that were examined in the -- in the
6 epidemiological neurobehavior -- neurodevelopmental studies
7 is increased sensitivity to drugs of abuse. And really
8 the ones that I'm going to focus on, most of them, were on
9 opiate self-administration. Some -- there were several
10 studies that examined morphine self-administration and a
11 couple that examined heroin self-administration.

12 So that same group from the Universidad
13 Complutense that I had spoken about earlier found that
14 gestational and lactational exposure during that same
15 exposure window from gestational day five through
16 postnatal day 24 to THC increased morphine
17 self-administration rate when it was on a fixed ratio
18 schedule in females only. And that means that for every
19 push of the lever, they got the same amount of morphine.
20 They didn't have to keep increasing their lever pushes.

21 But when they used a progressive ratio schedule,
22 where they had to do more basically to get the same amount
23 of morphine, there were no effects observed in either sex.
24 And with the fixed ratio, it was observed in females only.

25 There was also an effect on conditioned place

1 preference testing, where -- which revealed that there was
2 increased sensitivity to the reinforcing effects of
3 morphine versus saline in both prenatally exposed males
4 and females. And those were Rubio et al. 1995 and 1998.
5 The other one was -- Vela '98 and Gonzalez 2003 were the
6 other two studies.

7 And two other groups observed similar effects of
8 exposure to THC during late gestation through adulthood.
9 And that was Spano et al., 2007, or during the juvenile
10 period Singh et al., 2006 on latency to heroin
11 administration in the Spano et al. study
12 self-administration or on heroin-induced place preference,
13 similar to what was observed in the other studies with
14 morphine. And that was in -- those were in male rats.

15 And in the Singh et al. study, they also looked
16 at the effect of the juvenile exposure to THC on
17 immunoreactivity of Fos in the nucleus accumbens, the
18 amygdala, the medial caudate-putamen, and the
19 periaqueductal gray. And they found that this was
20 increased with the pre -- the juvenile exposure to THC.
21 And then heroin -- the heroin self-treatment further
22 increased Fos immunoreactivity in most of those regions as
23 well.

24 And now I'm going to turn - this is kind of a
25 segue - into effects on neuronal -- neurotransmitter

1 systems in different brain regions that were examined in a
2 number of -- some of the studies that I've already talked
3 about, as well as additional studies.

4 So looking at catecholaminergic systems. Two --
5 a study by Bonin et al. in 1996 studied the effects of
6 five milligrams per kilogram per day THC from gestational
7 day five until the time of euthanasia, which was done at
8 multiple different time points. And they found that
9 tyrosine hydroxylase expression and enzymatic activities,
10 so rate-limiting enzyme in dopamine synthesis, were
11 increased in female brains, gestational day 14 and
12 gestational day 21, but not during the intervening time
13 points or postnatal time points.

14 While the expression and activity were decreased
15 in males in late gestation, gestational day 21 and
16 postnatal day 1. They didn't observe any effects on whole
17 brain or forebrain dopamine or norepinephrine content,
18 which you might expect, given that there was decrease in
19 tyrosine hydroxylase activity.

20 Gestational and lactational exposure to THC
21 decreased the ratio of dopamine metabolite to dopamine in
22 females in the nucleus accumbens and ventral tegmental
23 area but in the basal ganglia in another study by Gonzalez
24 et al. And gestational and lactational exposure to
25 hashish extract also decreased the content of that same

1 metabolite DOPAC in the limbic forebrain in males only.
2 And there were no effects on -- however, on limbic
3 dopamine, tyrosine hydroxylase activity or dopamine D1
4 receptor binding sites in a study by Navarro et al.

5 Finally, there were also no effects noted on
6 striatal dopamine recept -- D2 receptors or on tyrosine
7 hydroxylase activity with preconception through postnatal
8 day 21 exposure to the mother in rats, in another study
9 Walters and Carr, 1988.

10 The -- there were a couple of studies that looked
11 at the -- that also looked at the D2 dopamine receptors.
12 So Szutorisz et al. who did peri -- periconception
13 exposures found decreased concentrations of those -- or
14 decreased receptor content in the dorsal striatum in adult
15 males that were exposed pre -- periconcept --
16 preconceptionally, I'm sorry. And DiNieri et al, with a
17 perinatal exposure found similar also effects on the DDR2
18 content in the nucleus accumbens.

19 There were a couple of studies that looked at
20 norepinephrine or adrenergic signaling related endpoints.
21 So basal levels of cortical norepinephrine were decreased
22 in perinatally THC exposed rats in the Campolongo study,
23 et al. that I mentioned earlier. And there was an
24 increased binding of cortical alpha 1 adrenergic
25 receptors, so the Bmax was increased in PND 20 -- on PND

1 20 in rats exposed during prenatally through postnatal day
2 20, so on the last day of dosing in that study.

3 So then turning to glutaminergic neurotransmitter
4 system. So in perinatally, THC exposed male rats - this
5 was the Campolongo study again - basal levels of cortical
6 glutamate were decreased. And in rats that were exposed
7 gestationally and lactationally to THC, the protein
8 expression of glutamate transporter GLT1 and
9 glutamate/aspartate transporter, GLAST, in synaptosomes
10 from hippocampal slices were decreased. That was Castaldo
11 et al., 2010. And in another study, the GLAST, the
12 glutamate/aspartate transporter, was decreased also in
13 cerebellum. And this was also in gestational lactational
14 exposure. And that was Suarez et al., 2004. And that
15 same study also found that another glutamate transporter
16 was decreased in cerebellum and that was the EAAC1
17 transporter.

18 The Castaldo et al. study also then looked at
19 hippo -- cultures of hippocampal slices taken from those
20 perinatally THC exposed male rats. And they observed
21 decreased basal and potassium evoked glutamate outflow,
22 decreased glutamate uptake, and loss of stimulatory effect
23 of THC on the glutamate release.

24 And some additional studies that also found
25 evidence of effects of the developmental THC exposure on

1 the glutaminergic systems were the Szutorisz et al., 2014
2 study that found increased glutamate -- glutamine
3 receptors in the nucleus accumbens on postnatal day 32
4 within decreases subsequently on postnatal day 62 in the
5 dorsal striatum. And this was the preconception exposure.
6 And they were looking at the AMPA as well as NM -- NDMA
7 expression of those -- the genes related to those -- those
8 receptors.

9 Then I'm going to spend -- say a little bit about
10 GABAergic effects. So in postnatal day 90 hippocampal
11 slice culture that was again similar to the Campolongo
12 study, perinatally exposed male rats. There was decreased
13 basal and potassium-evoked GABA outflow, as well as
14 decreased GABA uptake, and decreased CB1 receptor Bmax.

15 There was also decreased potassium evoked GABA
16 outflow in response to a THC challenge in the culture.
17 And that was blocked by CB1 receptor antagonist showing
18 that it was mediated by that CB1 receptor. And that was
19 the Beggiato et al., 2017 study.

20 So there was then a study that tied together
21 the -- I think was an interesting study that looked at the
22 glutaminergic and GABAergic effects was the study of de
23 Salas and Quiroga et al. from 2015 that investigated the
24 roles of CB1 receptor expression in glutaminergic and
25 GABAergic cortical neurons on the effects of a -- of three

1 milligram per kilogram per day exposure to THC during a
2 window of exposure that they defined as being the active
3 period for glutaminergic neuron generation. That was
4 gestational day 12 and half to 16 and a half - this is in
5 mice - on development of corticospinal motor neurons.

6 They found that THC exposed heterozygous CB1
7 receptor, heterozygous offspring - unfortunately, in this
8 study they did not specify the sexes of the offspring they
9 studied - had decreased cortical projection neuron
10 development, and as well as impaired function on several
11 skilled motor tests.

12 So again, kind of going back to those earlier
13 studies of locomotor activity that we talked about per --
14 and decreased seizure latency, as well as increased
15 seizure induction by exposure to a drug PTZ, while those
16 mice that were null for the CB1 receptor had impairments,
17 whether they were exposed to vehicle or THC during that
18 gestational day period that looked similar to what was
19 observed in the THC exposed heterozygous offspring, which
20 did have the -- that CB1 receptor expression.

21 They also observed decreased CB1 receptor protein
22 in the brains on gestational day 17 and a half in the
23 THC-exposed animals, not on postnatal day 2.5. And those
24 were the ones obviously that were not the CB1 receptor
25 null.

1 They were able to rescue the effect of THC on the
2 corticospinal motor function by expressing CB1 receptor
3 selectively in glutaminergic cortical neurons, but not by
4 expressing it selectively in the forebrain GABAergic
5 neurons. So -- and that was for the motor function, the
6 behavioral test.

7 But for the seizure activities, so the increased
8 seizure activity with THC, they -- the expression of CB1
9 receptor in glutaminergic or GABAergic neurons, each of
10 those partially rescued the increased THC-induced seizure
11 sensitivity. So there was a role for both of those
12 systems in the THC-induced seizure sensitivity. But it
13 looked like just the glutaminergic was involved in the
14 motor.

15 So I'm almost done here.

16 Opioidergic system. So we've already heard some
17 things about opioid self-administration. And there were
18 some kind of neuro -- chemical and neuroanatomic data
19 supporting that. So in the study that I already mentioned
20 by Spano et al. from 2007, they found that in the nucleus
21 accumbens' shell and substantia nigra there was increased
22 mu opioid receptor agonist-stimulated G-protein coupling,
23 while this -- while treatment with the CB1 receptor
24 agonist had no effect on G-protein coupling. And that was
25 in animals that were -- again, that were exposed

1 gestational day five to postnatal day 62.

2 On postnatal day 62, they also found increased
3 expression of proenkephalin in the nucleus accumbens and
4 the amygdala, but in contrast to decreased expression of
5 those in -- at post -- of proenkephalin in postnatal day
6 two in animals exposed from gestational day five to
7 postnatal day 62, so during exposure.

8 So overall, the developmental THC exposure
9 impacts, multiple different neuronal -- neurotransmitter
10 systems that are involved in many of the behavioral
11 endpoints that have been measured after prenatal THC
12 exposure. And examples include the changes in gene
13 expression in the nucleus accumbens, which is -- which are
14 associated with the addiction vulnerability, compulsive
15 behaviors and reward sensitivity, as well as changes in
16 the hippocampus that are associated with memory and
17 learning.

18 So I think overall there's -- it's a broad
19 database that has both neurochemical and
20 neuroanatomical -- that demonstrates neurochemical and
21 neuroanatomical effects on brain regions and
22 neurotransmitter systems that are known to be involved in
23 some of those behavioral endpoints that were looked at,
24 including the -- some of the cognitive function endpoints,
25 the increased drug sensitivity, as well as activity.

1 And I'll stop there.

2 All right. Tracy Woodruff. Dr. Woodruff.

3 COMMITTEE MEMBER WOODRUFF: Okay. Thank you.

4 That was good. You covered a lot. And so I am
5 going to, in the interest of the thorough explanation you
6 gave, and I just want to say I've -- I'm going to give
7 some summary remarks and just add on to some of the things
8 that you've said. And I, too, have read these studies.

9 I just want to note there were -- that you guys
10 have identified 47 studies. So there were a lot of animal
11 studies. And I wanted to note that the advantage of the
12 animal studies is that they have a controlled experimental
13 design. So this helps us look at the animal data in
14 conjunction with the human data, which we don't have
15 controlled experimental design.

16 So we have -- don't have the same issues like
17 there may be with what was discussed about potential
18 confounding by tobacco or other confounders. So I think
19 that that means that the animal studies have significant
20 advantages in that way.

21 I also note that we -- you talked about the
22 experimental design of the studies. I also went through
23 and looked at the methodological quality of the studies
24 and evaluated them based on bias domains. And I think we
25 looked at pretty much the same ones, two of them related

1 to blinding, and then reporting of data, and then one on
2 randomization.

3 And I would say, because I've been on this
4 Committee for a few years, that the quality of these
5 studies was a little bit higher than some of the animal
6 studies we saw. And I, in particular, want to point out
7 that the -- I -- the lab that was in Madrid, the
8 Navarro -- I call them the Navarro studies, because this
9 person appeared to be -- the senior author or author on
10 all the studies.

11 What I liked about them was that actually they
12 did mention that they randomize. They also noted that
13 they were blinded in the experimental design to -- the
14 people who did the assessment of the behaviors were
15 blinded and they used not a subjective measure, but they
16 used these photocell cages for an objective measure of
17 motor behaviors. So they didn't do a visual exam, but
18 they actually used essentially an objective look, almost
19 like a mechanical way to examine the outcomes. So that
20 cluster of studies I thought I had a lot more confidence
21 in, because of the nature of the study design.

22 And they did look at multiple different
23 endpoints, but -- which you went over, and I agree with
24 you that, in general, they saw effects of the exposure to
25 THC.

1 There was something else I was going to say about
2 them.

3 That was it. So -- and you covered all the
4 outcomes.

5 The other thing I wanted to note was -- and this
6 I think would be helpful in the future is that there were
7 studies that were coded in different areas that looked at
8 this passive avoidance test that was covered in six of the
9 animal studies. And the passive avoidance is -- is
10 essentially -- it sounds so much nicer when I say passive
11 avoidance. But what they have is two rooms and they --
12 the animal goes into another room and they shock it. And
13 then they go back and then they either see whether they
14 learn to remember the shock that they got when they went
15 into that room. That's a general layperson's discussion
16 of that outcome, because I've not done the test myself,
17 but I read about it.

18 But it's -- it was interesting, because it's a
19 cog -- a measure of cognitive performance in learning and
20 memory. And one of the things that's been noted is that
21 it declines -- the latency declines with increasing
22 latency between acquisition and retrieval. And that
23 basically, it gets worse as animals get older. So it gets
24 worse as -- if -- as a -- could be a measure of effects of
25 THC.

1 And I will note that the -- there were these six
2 studies that looked at this, albeit in many different
3 situations and at different time points. Some of the
4 studies -- I just want to say some -- it's interesting,
5 because some of these studies are quite old and some of
6 them are newer. And so that sometimes affects the
7 qualities of -- quality of the studies.

8 So the studies were Vardaris. It was a 1976
9 study. And what was -- I thought was interesting was that
10 they saw an effect on this cross-over. And actually, I
11 didn't really -- the write-up in the tables didn't seem to
12 reflect the -- actually going back to the paper, which was
13 that they found a significant effect on the time
14 difference between the THC and the placebo-exposed
15 animals.

16 There was then the Silva study, which you
17 mentioned, which has exposures by IV during gestation.
18 They evaluated the animals at postnatal day 50. And they
19 saw a decline in the number of entries between the THC and
20 the vehicles again for the shock avoidance test.

21 I thought the most probably compelling one was
22 the Campolongo, which you talked about as well. I think
23 you talked more about the neurochemical findings in that
24 study. But they also looked at this shock avoidance test.
25 And again, the avoidance latency went down in the animals

1 that were exposed prenatally to THC, indicating that they
2 probably didn't learn that there was a shock in the room.
3 And so again is an indication of development of cognition.

4 The other two studies that looked at this were
5 the Abel studies. I don't think you mentioned these. I
6 didn't think -- these were done in 1990, and they had
7 exposures at gestational day six. But their measurements
8 of the -- of the shock avoidance test were at postnatal
9 day 16 to 17. So it was a little bit earlier than the
10 other ones, which tended to be around 50, 70, or postnatal
11 day 80.

12 And they did, in one study, see a relationship,
13 meaning the shorter time period, for the animals that were
14 exposed to THC compared to the non-exposed. But in the
15 other study they didn't appear to see an effect.

16 But I thought that the -- essentially looking at
17 those endpoints together was very helpful, because I
18 was -- you're able to see that you could compare this
19 endpoint that was the same type of measurement across
20 multiple studies, which I think added, because they were
21 seeing a similar effect across different labs and study
22 design -- not study designs, but different experimental
23 locations added more strength to the finding that THC
24 developmental exposures was affecting neurodevelopment in
25 the animals.

1 And I don't think I have anything else.

2 No, you went over all the other studies -- the
3 relevance of the other studies, so that's it.

4 CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.

5 Do we have any questions or comments from other
6 Panel members on those sets of studies?

7 No. All right. Then we will continue with
8 our -- the mechanistic studies. And the primary
9 discussant for those is Dr. Allard.

10 COMMITTEE MEMBER ALLARD: All right. Thank you
11 very much.

12 So I just want to start by saying how I
13 approached looking at the mechanistic studies. The way I
14 looked at them was to really provide a foundation and
15 really biological plausibility to what has been observed
16 in human studies or not observed in human studies, and
17 also, to some extent, in animal studies.

18 So what that meant is that I actually
19 mechanistically did not consider, although I did read all
20 the information the changes in bone length, for example,
21 or the very nice series of experiments that looked at
22 early embryonic effects, meaning like -- rate of
23 blastocyst formation, oviductal transport, or
24 implantation. There was a beautiful series of experiments
25 in animal studies. But these were either not examined in

1 human studies or not replicated.

2 I also -- although, I am an epigeneticist, I did
3 find all these results -- epigenetic results very
4 compelling. And I am actually glad that this is included
5 now as important pieces of information. But those were
6 mostly associations and not -- there was no causation
7 established, at least that I could find. So I did think
8 that this was something to keep in mind, especially when
9 we think about long-term effects, but not something that
10 could be innocently informative.

11 So what I thought was compelling the results that
12 were on the neurodevelopmental side, both on the human
13 side and animal side, where from my reading of the
14 literature seemed to actually align very well. And this
15 is where really I thought there was also compelling
16 mechanistic data that provided biological plausibility.

17 So I think Dr. Luderer actually already alluded
18 to quite a bit of what I was going to say. So what we
19 already heard was that actually many different types of
20 neurotransmitters are affected by the endocannabinoid
21 system that we knew. And also -- through the studies, we
22 also know that delta-9-THC also affects the production of
23 those neurotransmitters.

24 So we -- I'm going to try to summarize -- I had
25 longer remarks, but I'm going to try to summarize a little

1 bit.

2 So we know that delta-9-THC acts through CB1R, in
3 particular in the nervous system. That it does to add --
4 act - sorry - presynaptically, both at inhibitory and
5 excitatory synapses. So either affecting the GABAergic
6 system or the glutamatergic system. And that, in general,
7 just, because this was already alluded to, seems to
8 decrease the production or signaling of glutamate. So
9 the -- one of the major mode of neuronal excitation or the
10 production or signaling of GABA, so one of the main mode
11 of inhibition.

12 We know that the endocannabinoids fulfill that
13 function, and that delta-9-THC -- and there's a series of
14 studies that show that also can have the similar effects.
15 So one study in particular, Beggiato et al. from 2017
16 shows that there -- for example, the effect of delta-9-THC
17 on the reduction of GABA is actually indeed mediated by
18 CB1R.

19 And so it's important to think that it's not just
20 these two neurotransmitters. We also know of the effect
21 on the dopaminergic system. Although that literature is a
22 bit more -- I would say it's dense, because, we -- the
23 literature, in general, as reviewed by Bloomfield in 2016
24 tends to show that there's increased dopaminergic
25 signaling going on. But this seems to be exposure dose

1 and location dependent, because early on during life, so
2 including during gestation, you can actually see
3 downregulation of some of the components of dopaminergic
4 signaling, such as, for example, in a DiNieri et al. paper
5 in 2011, a downregulation of DRD2 in human ventral
6 striatum in people who've taken cannabis. So the effect
7 on the dopaminergic system can go in either direction.

8 I think it's also really important to think
9 about -- mechanistically speaking, about the fact that
10 chronic exposure to a ligand to -- to those cannabinoid
11 receptors can actually ultimately lead to the
12 downregulation of those receptors. That's pretty well
13 established.

14 This has been shown in human, et al. in
15 2012, for example, showed that -- and this is really, I
16 think, critical to think about, because that will
17 obviously perturb the normal action of endocannabinoids,
18 which they won't be able to fulfill the -- as I just said,
19 their normal course of action.

20 So I guess I did not necessarily say that it's
21 also interesting to think that delta-9-THC is a partial
22 agonist of cannabinoid receptors, so it's not -- it's not
23 a full agonist. And that in itself can have interesting
24 distinctions from either synthetic cannabinoids or
25 endogenous cannabinoids, but it still seems to have pretty

1 high affinity for the ClBR receptor.

2 So why is this all important to think about? Why
3 is this really lending support to biological plausibility
4 for these neurodevelopmental effects that we've been
5 hearing about?

6 Well, that's because we know that the -- of
7 course, we all know that the development of the nervous
8 system is highly dynamic, that it goes through critical
9 phases of development. And it's significant, because
10 alteration of several of the signalings that we're talking
11 about, whether it is glutamatergic or GABAergic is very
12 important, because, for example, with GABAergic system, we
13 know that GABAergic signaling regulates not just the
14 function and inhibition specifically of neurons, but also
15 many effects of those neurons biology from -- from their
16 differentiation to function, and ultimately all affect
17 plasticity, and therefore memory.

18 So I've not necessarily -- have a long
19 explanation about the formation of memory here, and
20 long-term potentiation, for example, but all these systems
21 that I've described are critical for memory for -- and for
22 neuronal plasticity. And the effects of delta-9-THC on
23 the systems is highly concerning from that perspective.

24 So this is where I'm going to stop at this time.

25 CHAIRPERSON LUDERER: Thank you very much, Dr.

1 Allard. And our secondary discussant on this topic is Dr.
2 Baskin.

3 COMMITTEE MEMBER BASKIN: Thank you, Patrick, for
4 that excellent summary and also the scientists who put
5 together an incredible packet.

6 I'll be reasonably brief. I think the question
7 that Patrick and I were kind of asked to address is does
8 any of these epidemiologic, as well as animal studies,
9 make sense in terms of the basic science? And it seems
10 pretty clear from the papers that I read that I would
11 react in the positive.

12 The endocannabinoid system, of course, is a
13 system that exists in our bodies. It's -- and it's very
14 well defined. I'll quote our scientist in that they
15 labeled it the gateway of neuronal development. And I
16 think one of the key points is that the receptors are
17 basically all over the brain, in particular, as well as in
18 the nervous system. So without the receptor, you really
19 can't have any type of significant reaction. They're
20 expressed both in the fetal and the adult brain. And one
21 of the big breakthroughs was this idea of retrograde
22 signaling defined in 2001, which really allowed the study
23 of the direct effect of the ligand on the receptor, which
24 seems to modulate not only cell proliferation,
25 differentiation, migration of the cell, cell death, that

1 there is an impact directly on neuronal structure, which
2 relates obviously to memory and motor function.

3 The receptors that were influenced are the ones
4 that at least I've heard of. So that was kind of good
5 news, dopamine, serotonin, norepinephrine, acetylcholine.
6 And all of these can be regulated by endogenous action, as
7 well, of course, marijuana or synthetic marijuana.

8 So taken as a whole, it seemed quite plausible
9 that there was good basic science or relevance to what
10 we're seeing specifically in the papers related to
11 neurologic issues. And I would point your attention in
12 our nice packet to the Figure 11 by Andersen in 2013,
13 which nicely highlights the stages of development, the
14 stages of the different windows of vulnerability -- I
15 forget the page number, but I think we've all kind of seen
16 this -- showing exposures to the cannabinoid agonist
17 really can directly impact neurologic function, such as
18 memory and learning, which is consistent with some of the
19 prospective studies that we saw.

20 There were three papers in particular that I
21 thought were especially noteworthy. The Keimpema paper --
22 I'm not pronouncing their name right -- in 2011, the Kano
23 paper in 2009, and the Andersen paper in 2003.

24 So taken as a whole, I would just reiterate that
25 there's quite relevant basic science behind some of the

1 things that we appear to be seeing clinically in humans,
2 as well as in the animal studies.

3 CHAIRPERSON LUDERER: Thank you very much. Do we
4 have any comments or questions related to those last two
5 presentations? And I know we do need to take a break for
6 our transcriptionist, which I'm sorry that we have forced
7 you to do this for so long without a break.

8 Yes. Dr. Hertz-Piccioto.

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. I was
10 just wondering in one of the epidemiological studies there
11 was mention of the -- and maybe somebody said this and I
12 missed it today, but -- that fetus -- that the fetus and
13 infant actually may have far more of the endocannabinoid
14 receptors in their brain, their nervous system than the
15 adults.

16 It was sort of mentioned in the discussion. I
17 didn't see any citations with it, but I just wondered if
18 that's -- if there appears to be data on that, or is
19 that -- was that speculation, just curious?

20 COMMITTEE MEMBER BASKIN: I think Tracey had
21 mentioned that there was more fat in the fetal brain.

22 COMMITTEE MEMBER WOODRUFF: Right, more lipid.
23 That's what I -- but I don't know if there's more -- I
24 don't know.

25 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah, but

1 that's -- that's -- that's about retaining --

2 COMMITTEE MEMBER WOODRUFF: Right.

3 COMMITTEE MEMBER HERTZ-PICCIOTTO: The lipids are
4 about retaining the actual compounds.

5 COMMITTEE MEMBER WOODRUFF: Right, it's about the
6 exposure. Right.

7 COMMITTEE MEMBER HERTZ-PICCIOTTO: But I was -- I
8 was thinking in terms of the developmental aspect of the
9 brain.

10 COMMITTEE MEMBER BASKIN: I don't recall --
11 recall the quantitation between the fetus and the brain,
12 other than -- the fetus and the adult other than that it
13 was ubiquitously expressed.

14 COMMITTEE MEMBER ALLARD: No. What -- the same
15 deal, I do not recall a question of quantitation of the
16 amount of receptors. What really permeated through the
17 animal studies and some human studies -- although the
18 human studies that I remember are not fetal. But anyway,
19 it's highly stage-specific and region-specific expression.
20 So it's very, very dynamic expression of the receptors
21 that tend to change quite a bit as you progress through
22 development.

23 COMMITTEE MEMBER WOODRUFF: I think, right, this
24 is what you were -- somebody -- I can't remember who said
25 this, said that it was very important that this -- there's

1 something unique about the CB1Rs in brain development, not
2 necessarily that there are more or less of them, right?

3 COMMITTEE MEMBER ALLARD: So CB1R is highly
4 expressed in the brain. Although, the other receptors
5 tend to also be expressed in the brain but at slightly
6 lower levels. Correct me if I'm wrong with that, but --

7 COMMITTEE MEMBER WOODRUFF: That's what --

8 COMMITTEE MEMBER ALLARD: Right.

9 COMMITTEE MEMBER WOODRUFF: Maybe you said that
10 in your presentation, I think.

11 COMMITTEE MEMBER ALLARD: And THC -- delta-9-THC
12 has a higher affinity for CB1R. I'm trying to remember
13 the levels now, but I think it's double the affinity than
14 for CB2R.

15 COMMITTEE MEMBER WOODRUFF: Right. So it might
16 not be amount, but it's more around -- related to
17 activity, right, is that what -- I think was it you that
18 said this?

19 DR. NIKNAM: Yes.

20 COMMITTEE MEMBER WOODRUFF: I'm sorry, I can't --
21 I can't see, because these are not the right glasses.

22 DR. NIKNAM: During development, there tends to
23 be a switch from the CB2 receptor to CB1R receptor
24 expression. And that's very different than the adult.

25 COMMITTEE MEMBER WOODRUFF: Right. Thank you.

1 CHAIRPERSON LUDERER: All right. Thank you.
2 Now, we will take our ten minute break. So we'll
3 reconvene at about, oh, I guess, we can say ten after
4 3:00.

5 (Off record: 2:57 p.m.)

6 (Thereupon a recess was taken.)

7 (On record: 3:13 p.m.)

8 CHAIRPERSON LUDERER: All right. Okay. Is this
9 on?

10 Yes, it is.

11 All right. I'd like to reconvene.

12 The -- we next -- next item on our agenda is we
13 have time now for some public comments. And we've
14 received requests for public comments from two people. I
15 don't think there have been any additional ones that came
16 in. The first person is Ellen Komp from California NORML.

17 MS. KOMP: Hello. Yeah.

18 Hi. My name is Ellen Komp. I'm Deputy Director
19 of California NORML, the State Chapter of the National
20 Organization for the Reform of Marijuana Laws.

21 Cal NORML has advocated for consumer safety and
22 science-based regulations for cannabis since 1972. And I
23 have a degree in biochemistry from Penn State. So
24 although you might think I'm just a crazy zealot, I
25 actually have great respect for science. And I appreciate

1 all the effort the Committee and the staff has put into
2 today's hearing.

3 It is NORML's position that existing scientific
4 evidence on the reproductive risks of prenatal cannabis
5 use is insufficient to warrant a Prop 65 warning. To
6 date, the only human studies that have been conducted
7 involve women who smoked cannabis during pregnancy,
8 meaning we have data on cannabis smoke, but not on THC or
9 any other cannabinoid or terpene in humans, nor do we have
10 studies on cannabis that is vaporized or taken orally,
11 topically, et cetera during pregnancy in women.

12 Studies that have looked at cannabis smoking and
13 pregnancy have, as we have seen today, produced
14 conflicting results. One thing that I haven't seen
15 mentioned as a -- very much as a conflicting -- or, you
16 know, a -- sorry, it's been a long day for me too -- as a,
17 you know, concomitant factor is socioeconomic factors.

18 In the studies I've look at, they all talk about
19 this at great length and sometimes they try to match
20 mothers and things. But this is something that I think
21 should be looked at more carefully. It's funny that the
22 1994 March of Dimes funded study in Jamaica was mentioned.
23 That is often always misreported as finding no difference
24 between babies born to women who use cannabis and those
25 who didn't.

1 Actually, at 30 days, when the Brazelton method
2 is probably more useful, it found that babies born to
3 mothers who use marijuana had superior scores in some of
4 Brazelton measures. And, in fact, the women who used the
5 most cannabis, their children had the highest scores. And
6 this was related to perhaps some of the socioeconomic
7 factors around this.

8 Also, the mothers didn't have other polydrug use.
9 They found that it helped their eating and there was less
10 societal sanction against it.

11 As far as the animal studies -- oh, and what I
12 wanted to say about that Jamaican study is there was a
13 five-year follow-up, which found again no change or
14 positive results, but NIDA would not fund a further
15 follow-up study. And this points out a factor that's been
16 going on. NIDA is always ready to fund studies that look
17 for negative effects of marijuana and positive effects are
18 hardly ever reported or studied. And this could be one
19 reason why a lot of the early animal studies have
20 concentrations maybe 300 times the adult dose of cannabis,
21 which we figure is about maybe 0.4 milligrams per
22 kilogram.

23 So I heard studies talked about -- the animal
24 studies -- none of the studies on the zebrafish, on
25 cognitive function, on visual attention, on memory, on

1 opiate self-administration, on immunoreactivity, I didn't
2 hear anything about the dosage of cannabis in that. And I
3 ask you to look very carefully at the animal studies and
4 the dosage.

5 Some of the later studies maybe -- there was one
6 that came in at the right Moreno et al. from 2003. But
7 some of the earlier ones, like Rubin et cetera, were way
8 off base. And so I think those really need to be
9 re-examined.

10 Also not mentioned was the 2017 NAS comprehensive
11 report, which concluded that -- you know, National Academy
12 of Science reviewed all existing evidence. They concluded
13 smoking cannabis during pregnancy is linked to lower birth
14 weight in offspring. I would look at how much lower. The
15 relationship between smoking cannabis during pregnancy and
16 other pregnancy and childhood outcomes is unclear, the NAS
17 concluded in 2017.

18 Also not mentioned was a 2018 Population Study CO
19 that found marijuana use during pregnancy was not
20 independently associated with infant birth weight or
21 gestational age. That's on your list of excluded studies.
22 I don't know why.

23 In any case, Prop 65 warnings are unnecessary,
24 because warnings are already required by current
25 Department of Public Health regulations. All licensed

1 cannabis products in California, whether intended to be
2 smoked, vaporized, or taken orally are currently
3 neighbor -- labeled quote, "Cannabis use while pregnant or
4 breastfeeding may be harmful".

5 These CDPH warnings are similar to the Surgeon
6 General warnings on packages of cigarettes or alcohol,
7 which do not contain extra and potentially repetitive
8 confusing Prop 65 warnings.

9 Marinol which is approved by FDA, it's an oral
10 THC. Sorry, I thought I had that here. What Marinol says
11 is in the patient pamphlet -- sorry, I've lost that. But
12 it does not say that -- it does say don't use it while
13 pregnant, but It does not say that there is any connection
14 between reproductive effects. And in fact, it mentions
15 several of the -- three of the rat studies -- or rodent
16 studies that were mentioned here today as proving that it
17 does not necessarily have those effects. So that's a
18 federal agency, the FDA. I would look at that as well.

19 I think the Committee really needs to look harder
20 at this right now. We're in situation with the cannabis
21 industry where overregulation and the cost of that is
22 causing people to go to black market for unlicensed
23 untested products. And we have a current public health
24 crisis on our hands with unlicensed vapes causing lung
25 injury and death.

1 And we really need to look hard at where we're
2 going with this. I know you're only supposed to look at
3 the science and not even think about the labeling, but I
4 think you need to do it with that in mind, as well as the
5 fact that marijuana policy at times even separates
6 children from their mothers unnecessarily, because of bad
7 science.

8 So thanks a lot for your time. I'm always
9 available for any questions.

10 Thanks.

11 CHAIRPERSON LUDERER: Thank you very much for
12 those comments.

13 Our second commenter is Mr. Dale Gieringer. I
14 can't --

15 DR. GIERINGER: I'm just following up on Ellen's
16 comments a little bit. I just did want to emphasize,
17 first of all, that cannabis smoke and THC are different
18 things. Cannabis smoke has hundreds even thousands of
19 chemicals in it. THC is a single chemical. All of our
20 epidemiological evidence in human beings comes from
21 cannabis smoking.

22 There have never been any epidemiological studies
23 on reproductive effects or most any other effects from
24 oral THC, or topicals, or other vari -- other cannabinoids
25 that sometimes get in cannabis and so forth. So I think

1 that distinction needs to be noted clearly here.

2 But in any case, the epidemiological evidence
3 that we do have from the women who smoke marijuana seems
4 to go two different ways. We've got different studies
5 with different results. I just want to quote from Prop 65
6 itself the voters intent where it says, "A chemical is
7 known to the State to cause cancer or reproductive
8 toxicity within the meaning of this chapter if, in the
9 opinion of the State's qualified experts, it has been
10 clearly shown through scientifically valid testing,
11 according to generally accepted principles to cause cancer
12 or reproductive toxicity". I don't think there's any
13 clear showing of anything here.

14 As Ellen pointed out, the industry already is
15 required under State law to give a warning about
16 possible -- a warning to pregnant women about possible
17 reproductive risks. Another warning out there is just
18 going to complicate and confuse things further. I would
19 urge the Committee to defer and wait for further evidence
20 to accumulate on this.

21 Thank you.

22 CHAIRPERSON LUDERER: All right.

23 Thank you very much for those comments.

24 Did we get any additional requests for public
25 comments?

1 No. All right. Thank you.

2 We did have a few clarifying questions that --
3 one that I raised and -- where I was asking about the
4 rhesus monkey study as to whether -- why two different
5 tests were chosen at the two different ages. And Dr.
6 Golub, the author of that study, is here to actually
7 answer that question.

8 So, Dr. Golub.

9 DR. GOLUB: Yes. I'm Mari Golub. And I'm
10 currently working as a retiree here at OEHHA. This study
11 was done in the 1970s. And, of course, I don't remember.
12 So I looked it up while we -- while you were talking. And
13 we began assessing the animals when they were very young
14 with the puzzle solving test and a response to visual and
15 auditory stimulation. That's when we noticed that they
16 had the prolonged attention.

17 So on the second study, we used a technique that
18 provided more detailed data, as far as visual attention in
19 a structured situation that was devoted specifically to
20 that. So that was basically the reasoning.

21 CHAIRPERSON LUDERER: Thank you.

22 COMMITTEE MEMBER WOODRUFF: That was the study
23 that was in the packet?

24 CHAIRPERSON LUDERER: Yes.

25 COMMITTEE MEMBER WOODRUFF: Right. Could you

1 talk about the findings in the study that was sent to us
2 in email that we found that was referenced in that study,
3 the behavioral mother-in -- mother-infant interaction from
4 the THC treatment?

5 DR. GOLUB: Right, that was the same infants.

6 COMMITTEE MEMBER WOODRUFF: The same infants.
7 But you found a difference in the mother behavior
8 interactions, is that right?

9 DR. GOLUB: Right. So at a certain age in
10 monkeys, the infants start leaving the mothers. And so
11 that's sort of a critical period in the mother-infant
12 interaction. And we found some differences at that time
13 period. I don't recall right away what the endpoint was.
14 Do you have that in front of you?

15 COMMITTEE MEMBER WOODRUFF: Percent of non-social
16 behaviors initiated by the mother. Percent of total time.
17 Number of behaviors initiated per hour in terms of mother
18 and infants social and negative behaviors.

19 DR. GOLUB: Right. So one of the questions at
20 that separation period is whether it's initiated most by
21 the mother or by the infant. And I think those measures
22 were reflecting that who initiated the separations during
23 that time period when they being separated.

24 COMMITTEE MEMBER WOODRUFF: All right. Thank
25 you.

1 DR. GOLUB: So that's all the information that we
2 have. It's just -- just, you know, a dip into
3 mother-infant interaction. And I didn't see any human
4 information on that. So difficult to know what the
5 relevance would be.

6 CHAIRPERSON LUDERER: Thank you.

7 It's not often that the author can provide
8 answers --

9 (Laughter.)

10 CHAIRPERSON LUDERER: -- immediately like that.

11 So the other question was regarding the timing of
12 bone development in humans versus rodents. We had a bit
13 of a discussion about whether the five -- I think it was
14 postnatal week five to ten in that -- the rodent study
15 that was presented, whether that exposure would be
16 relevant to in utero exposure in a human pregnancy, which
17 is what Prop 65 is intended to address.

18 DR. CAMPBELL: I don't know that -- we don't have
19 the information to just say that yes or no. We -- you
20 know, what I did find was that they say sometimes you
21 start to see the epiphyseal plates, the secondary centers
22 of ossification that are going to be the epiphyses
23 starting to develop prenatally in humans. But I got the
24 impression it wasn't always. And I don't even have
25 quantitative information on that. In mice, you don't.

1 So it is indirect evidence that there could be
2 effect prenatally, because we know the process carries on.
3 You know, it does -- it's not going to really change, but
4 we don't have any direct evidence that that happens.

5 We don't have anything where they even measured
6 bone length or even crown-rump length in, you know, the
7 animal fetuses when they evaluated them.

8 So that's all I can tell you really.

9 CHAIRPERSON LUDERER: Okay. So it may be
10 relevant, but we're not really sure.

11 DR. CAMPBELL: Yeah. They picked -- they picked
12 the period of most rapid bone growth, so if there was an
13 effect, they would be sure to see it.

14 CHAIRPERSON LUDERER: Did have a follow-up
15 question?

16 COMMITTEE MEMBER AUYEUNG-KIM: No.

17 CHAIRPERSON LUDERER: Okay.

18 All right. We have time for additional panel
19 discussion. Are there any other -- Dr. Nazmi.

20 COMMITTEE MEMBER NAZMI: You know, I think it --
21 I think it came up during the presentations at least
22 twice. But the folks from NORML also bring up the issue
23 of the component that we're looking at THC in isolation
24 versus THC as ingested especially in the human studies
25 that I reviewed. Many of them, as you all indicated,

1 were -- the exposure was I believe in all of the studies
2 for the humans, marijuana, in other words, THC smoke,
3 which maybe I'd like to bring back to the Committee to see
4 if anybody has any comments on how that might impact our
5 interpretation of the findings that THC, you know, delta-9
6 in isolation versus THC smoke as ingested, you know,
7 conventionally is the -- is the outcome that we have
8 been -- that we have been discussing, if that's -- is that
9 clear what I'm saying?

10 CHAIRPERSON LUDERER: Yes. And I just -- I don't
11 think I mentioned this earlier, but we are going to be
12 vote -- have to vote separately on cannabis smoke and
13 delta-9-THC. So we don't have to come to the same
14 conclusion about those two.

15 COMMITTEE MEMBER NAZMI: Okay. Okay. I did not
16 know that.

17 CHAIRPERSON LUDERER: I should have mentioned
18 that at the beginning.

19 COMMITTEE MEMBER HERTZ-PICCIOTTO: Is that true?
20 I'm sorry. I didn't have the wording in front of me, but
21 it says cannabis smoke or it just says cannabis?

22 CHIEF COUNSEL MONAHAN CUMMINGS: It's cannabis
23 smoke.

24 CHAIRPERSON LUDERER: Yeah, but cannabis and --
25 but we vote separately on cannabis versus delta-9-THC.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: Right.

2 DR. SANDY: Cannabis smoke.

3 CHAIRPERSON LUDERER: Oh, it is cannabis smoke.
4 Okay. Then I was correct.

5 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. Okay.

6 Well, I'm just -- in terms of the actual wording
7 in our charge, I just want to make sure the charge is
8 about the cannabis smoke, if -- and where -- I want --
9 where does it say that? Oh, it does say smoke on the
10 front of the document.

11 CHAIRPERSON LUDERER: The title.

12 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes. Okay.
13 All right. It is important. I mean, this is a legal
14 document. And so you have to kind of pretend you're a
15 lawyer when you --

16 DR. SANDY: If I may --

17 CHAIRPERSON LUDERER: Yes.

18 DR. SANDY: -- say it says it's the name -- it's
19 the title of the document. It's also in the preface
20 saying that you were bringing cannabis smoke and
21 delta-9-THC and in my introductory remarks this morning.

22 CHAIRPERSON LUDERER: Any additional discussion
23 comments from panel members?

24 Patrick

25 COMMITTEE MEMBER ALLARD: Yeah. I have been

1 thinking also about this, the distinction between the
2 smoke, especially when you hear, you know, about the
3 number of chemicals, non-cannabinoids and cannabinoids,
4 there's a high number of chemicals, right. So you can
5 think about synergistic effects or inhibitory effects
6 between the different ones.

7 But purely from the part -- the job that I had
8 today from the biological plausibility perspective, both
9 aspects independently have been sort of well described in
10 the literature. And, I mean, definitely from the
11 delta-9-THC perspective, there's quite a bit of literature
12 that specifically mechanistically looked at that in
13 isolation. But there's also some studies that have looked
14 at, through inhalation, that we -- you know, we -- Dr.
15 Luderer discussed as well that have looked at that.

16 So from a biological plausibility perspective,
17 not thinking about the human epidemiological studies, from
18 that perspective, the weight of evidence would look at
19 those two things going in the same directions.

20 COMMITTEE MEMBER HERTZ-PICCIOTTO: What do you
21 mean by going in the same directions? That seems vague to
22 me.

23 COMMITTEE MEMBER ALLARD: Right. So meaning that
24 they both seem to be showing a reduction in GABAergic and
25 glutamatergic signaling.

1 CHAIRPERSON LUDERER: Dr. Woodruff.

2 COMMITTEE MEMBER WOODRUFF: Yeah, I wanted to
3 follow up on that, because one of the comments that I was
4 going to make that I didn't make was that there are -- in
5 the smoking, there's a list in this document of all the
6 different chemicals that are in the smoke. And many of
7 them are already known by the State -- and -- by the State
8 of California to be developmental reproductive toxicants
9 and carcinogens. So I think that is an important element
10 that adds to the combination with the THC and exposure.

11 Also, there is a discussion in the document about
12 the pharmacokinetic studies that have been done in humans
13 showing that the THC is absorbed through the lungs after
14 the smoking occurs. So to me that indicates that there --
15 similar to what Dr. Allard is saying is that the effects
16 that we see are going to be similar, whether they're
17 directly exposed animal studies, we can infer that the
18 humans will be getting that exposure to THC when they are
19 smoking. Well, actually, the data show that that is to be
20 true.

21 CHAIRPERSON LUDERER: And kind of a related
22 comment that we know that in the cannabis compared to when
23 most of these human epidemiological studies were done that
24 we reviewed the con -- THC content of cannabis has gone up
25 quite several-fold, I believe.

1 MS. KOMP: Can I say something about that?

2 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me, there
3 was already a public comment period.

4 MS. KOMP: You wore me down, but if you -- if
5 it's strong, you just smoke less.

6 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me.
7 Excuse me, the public comment period is over.

8 CHAIRPERSON LUDERER: All right. Any other
9 comments, thoughts from the panel?

10 COMMITTEE MEMBER HERTZ-PICCIOTTO: Does anybody
11 want to clarify on the issue of the doses in the animal
12 studies. And, I mean, I would love to see a comparison of
13 that with doses that are today's kinds of doses that
14 people --

15 COMMITTEE MEMBER WOODRUFF: Well --

16 COMMITTEE MEMBER HERTZ-PICCIOTTO: If there are
17 any -- just even -- or there are -- obviously --

18 COMMITTEE MEMBER WOODRUFF: No. I mean, there
19 were comments in the papers -- I don't know if you want to
20 comment on this, Ulrike. But there were -- and I'm -- was
21 trying to go through and find, because I made notes on
22 this. But there were a number of the studies that
23 actually designed their dosage to be similar to moderate
24 use of THC. And these are older studies, so it probably
25 doesn't reflect more current exposures or current THC

1 contents that we have reviewed. So I'd have to go back
2 and find -- but there were the animal studies.

3 And I think the Navarro studies in particular
4 were paying attention to making sure that --

5 CHAIRPERSON LUDERER: Right.

6 COMMITTEE MEMBER WOODRUFF: -- their doses were
7 similar to moderate use of cannabis.

8 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me. This
9 is --

10 COMMITTEE MEMBER HERTZ-PICCIOTTO: When were the
11 Navarro studies?

12 COMMITTEE MEMBER WOODRUFF: Those studies were
13 started in the -- 1995 and went all the way up to Moreno,
14 which went to 2005. So they had a series of studies.
15 That's one -- I think there were six. One, two, three,
16 four, five, six studies.

17 CHAIRPERSON LUDERER: Other comments, thoughts?
18 If not, then I -- Yes, Dr. Nazmi.

19 COMMITTEE MEMBER NAZMI: I wonder if Dr. Zeise
20 might just be explicit in exactly what -- what the points
21 are that we're going to vote on, just to kind of try to
22 disambiguate the smoke versus the THC, et cetera.

23 DIRECTOR ZEISE: So the two agents are
24 cannabis(marijuana) smoke is the first one. And the
25 second one is delta-9-tetrahydrocannabinol(delta-9-THC).

1 So those are the two.

2 COMMITTEE MEMBER NAZMI: My question was whether
3 that's going to be stratified by human versus -- there's
4 one endpoint that we're voting on today, right, only?

5 DIRECTOR ZEISE: Correct. There is one -- it
6 would be on each substance you would vote whether or not
7 it has been clearly shown --

8 COMMITTEE MEMBER NAZMI: Got it.

9 DIRECTOR ZEISE: -- taking into account the
10 evidence -- the spectrum of evidence that you have looked
11 at.

12 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me. This
13 is Carol. Two -- two comments on that. The one being
14 that under Prop 65, you don't have to find that there's
15 human evidence of the effect of a chemical. It's just
16 evidence. And so that could be, you know, exclusively on
17 animal data, although you've got human data here that you
18 can consider certainly.

19 And then the question about dose, generally, we
20 don't consider whether or not the current exposures are
21 high enough to cause the effects. What you're looking for
22 is a scientific decision on whether or not the chemical
23 causes the effect. And then we deal with the dose level
24 later, you know, say when we're setting a safe harbor
25 level, or looking at safe use determination, or something,

1 we can look at dose then.

2 COMMITTEE MEMBER ALLARD: Right.

3 COMMITTEE MEMBER HERTZ-PICCIOTTO: This is
4 equivalent to a half --

5 COMMITTEE MEMBER ALLARD: It's hazard versus
6 risk, right? That's what --

7 COMMITTEE MEMBER HERTZ-PICCIOTTO: Hazard, yeah,
8 right.

9 CHIEF COUNSEL MONAHAN CUMMINGS: Correct.

10 CHAIRPERSON LUDERER: All right. Then are we all
11 ready to vote?

12 COMMITTEE MEMBER WOODRUFF: Can I ask one more
13 question?

14 CHAIRPERSON LUDERER: Yes.

15 COMMITTEE MEMBER WOODRUFF: We're going to do --
16 can you just -- the endpoints -- are we going to do the
17 endpoints different or are we just going to do it as a
18 whole?

19 CHAIRPERSON LUDERER: Just as a whole.

20 COMMITTEE MEMBER WOODRUFF: Okay. Thank you.
21 Sorry. Right. I knew that. Sorry. I just was -- I
22 was -- I forgot we didn't do the male and female
23 reproductive endpoints.

24 COMMITTEE MEMBER BASKIN: Correct.

25 COMMITTEE MEMBER WOODRUFF: Thanks.

1 CHAIRPERSON LUDERER: We -- it might be useful
2 for the -- either as we're voting to state what your
3 reasons were or we could discuss that beforehand. It was
4 just suggested.

5 COMMITTEE MEMBER WOODRUFF: Who suggested that?

6 CHAIRPERSON LUDERER: Panel member by panel
7 member.

8 COMMITTEE MEMBER WOODRUFF: Was that Lauren's
9 suggestion?

10 COMMITTEE MEMBER BASKIN: That's -- I mean, I
11 thought the reasons were we felt there was scientific
12 evidence.

13 (Laughter.)

14 COMMITTEE MEMBER WOODRUFF: That is my reason.

15 CHAIRPERSON LUDERER: For a summary of what our
16 speech about the scientific evidence was.

17 COMMITTEE MEMBER HERTZ-PICCIOTTO: Talk about
18 which evidence we found compelling, is that --

19 CHAIRPERSON LUDERER: Yeah.

20 COMMITTEE MEMBER WOODRUFF: I'm sorry. Can
21 you -- didn't we -- I mean, it seems like everyone
22 summarized what their thinking was on it. So just for
23 clarity, is this --

24 CHAIRPERSON LUDERER: I agree, yes.

25 COMMITTEE MEMBER WOODRUFF: Is this Lauren who

1 wants this? I'll do it for you Lauren. That's fine,
2 but...

3 (Laughter.)

4 DIRECTOR ZEISE: You know, it's up to you as
5 you're voting, if you want to say something or not. It's
6 entirely up to you.

7 COMMITTEE MEMBER WOODRUFF: Okay. Okay. Okay.
8 Thank you for clarifying that.

9 CHAIRPERSON LUDERER: All right then, as was
10 discussed, there are two separate votes that we have to
11 take. I will start with the first one, which is we have
12 to decide to vote yes or no to this question: Has
13 cannabis(marijuana) smoke been clearly shown through
14 scientific valid testing, according to generally accepted
15 principles to cause developmental toxicity?

16 All right. So starting with Dr. Woodruff?

17 COMMITTEE MEMBER WOODRUFF: Okay. So which one
18 are we doing first, the smoke?

19 CHAIRPERSON LUDERER: Cannabis smoke.

20 COMMITTEE MEMBER WOODRUFF: Okay. Well, I'm -- I
21 am going to vote yes, because of the biological
22 mechanistic data that has been presented. The human
23 evidence I agree is un -- has some variability, but it is
24 consistent with the animal evidence that was presented,
25 particularly for neurodevelopmental effects. And I found

1 the bone -- the discussion about the effects on bone
2 growth particularly compelling.

3 COMMITTEE MEMBER BASKIN: Yes.

4 CHAIRPERSON LUDERER: Dr. Hertz-Piccioto.

5 COMMITTEE MEMBER HERTZ-PICCIOTTO: I'm undecided.
6 I think I'm going to abstain at the moment.

7 CHAIRPERSON LUDERER: All right. Abstain.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: By the end I
9 might change my mind.

10 COMMITTEE MEMBER CARMICHAEL: I'm saying yes for
11 similar reasons as Dr. Woodruff, plus the analogy with
12 tobacco smoke.

13 CHAIRPERSON LUDERER: I say yes for those same
14 reasons.

15 COMMITTEE MEMBER NAZMI: I would agree yes for
16 cannabis smoke.

17 COMMITTEE MEMBER BRETON: I'll just use the same
18 one.

19 Yes.

20 COMMITTEE MEMBER AUYEUNG-KIM: Yes, based on the
21 smoke.

22 COMMITTEE MEMBER ALLARD: Yes, as well, based on
23 the alignment of biological plausibility data, mechanistic
24 -- also mechanistic data, animal data, and human data for
25 the neurodevelopmental endpoints.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: Actually, I
2 realized that it's not logical, given that there's already
3 compounds within smoke that are already listed that you
4 have to basically -- the hazard is there. That's --
5 that's evidence in that -- under this mechanism and...

6 CHAIRPERSON LUDERER: So yes.

7 COMMITTEE MEMBER HERTZ-PICCIOTTO: So it's a yes.
8 I've changed my vote to a yes.

9 CHAIRPERSON LUDERER: All right. So that was
10 unanimous yes vote.

11 So then we'll be moving on to the next question
12 is has delta-9-tetrahydrocannabinol, Delta-9-THC been
13 clearly shown through scientifically valid testing,
14 according to generally accepted principles to cause
15 developmental toxicity?

16 We'll start with Dr. Allard.

17 COMMITTEE MEMBER ALLARD: Yes, and for the same
18 reasons as mentioned before. But those apply to
19 delta-9-THC as well.

20 COMMITTEE MEMBER AUYEUNG-KIM: I vote yes as well
21 for the same reasons.

22 COMMITTEE MEMBER BRETON: Yes.

23 COMMITTEE MEMBER NAZMI: I would vote no for --
24 citing lack of evidence on specificity of THC, delta-9.

25 CHAIRPERSON LUDERER: I vote yes for the reasons

1 that were already discussed.

2 COMMITTEE MEMBER CARMICHAEL: Yes.

3 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes.

4 COMMITTEE MEMBER BASKIN: Yes.

5 COMMITTEE MEMBER WOODRUFF: Yes.

6 CHAIRPERSON LUDERER: Okay. So one no and eight
7 yes votes.

8 All right. So that concludes our discussion of
9 cannabis smoke and delta-9-THC.

10 And we have some staff updates next.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Actually, I'm
12 sorry, but we have the Section 2700[SIC]item. It's just a
13 consent item.

14 CHAIRPERSON LUDERER: Oh. Okay. Sorry. Yeah, I
15 skipped the consent item. Pardon me. There's a consent
16 item, which is update of Section 27000 regulations that
17 list chemicals requiring testing by federal and State.
18 And Carol Monahan Cummings will be presenting that. Sorry
19 about that.

20 (Thereupon an overhead presentation was
21 presented as follows.)

22 CHIEF COUNSEL MONAHAN CUMMINGS: That's okay.

23 This is quick. So this a consent item for the
24 Committee. We provided you with a staff report and
25 recommendation on November the 22nd. I hope all of you

1 have had a chance to look at it. The report summarizes
2 information received from other relevant entities. The
3 staff report we sent you looks like this.

4 --o0o--

5 CHIEF COUNSEL MONAHAN CUMMINGS: So you can see
6 it in your materials, if you need to. Section 27000 list
7 is a list of chemicals that under State or federal law
8 require additional testing for cancer or reproductive
9 toxicity endpoints. It's not the same list as the more
10 well known Prop 65 list. And it doesn't have any
11 particular effect, other than to highlight the fact that
12 there's still studies that need to be done.

13 For this list, we rely on U.S. EPA and the
14 Department of Pesticide Regulation within CalEPA to give
15 us information about mandatory chemical testing.

16 --o0o--

17 CHIEF COUNSEL MONAHAN CUMMINGS: So you can see
18 on this slide the information provided by the Department
19 of Pesticide Regulation recommends removal from the list,
20 these five chemicals, because they've had sufficient
21 testing to satisfy Department of Pesticide Regulation
22 requirements.

23 --o0o--

24 CHIEF COUNSEL MONAHAN CUMMINGS: On this slide,
25 the Department of Pesticide Regulation is recommending an

1 update, saying that there's a need for a tera rat study,
2 for sodium chlorate.

3 --o0o--

4 CHIEF COUNSEL MONAHAN CUMMINGS: And on this
5 slide, there's a suggestion from U.S. EPA that they have
6 sufficient information reported to them on MITC.

7 So what we're asking the Committee to do is
8 consent for our office to add, or delete, or update the
9 list based on the information that I just showed you
10 provided by U.S. EPA and DPR that is also described in the
11 staff report.

12 Do you have any questions before you vote on
13 that?

14 COMMITTEE MEMBER WOODRUFF: Can I ask a question?

15 CHIEF COUNSEL MONAHAN CUMMINGS: Sure.

16 COMMITTEE MEMBER WOODRUFF: So the Methyl
17 isocyanate, does that mean there are now new data on this
18 chemical?

19 CHIEF COUNSEL MONAHAN CUMMINGS: That's possible,
20 because they're reporting now that they -- they've already
21 received the information.

22 COMMITTEE MEMBER WOODRUFF: Okay.

23 CHAIRPERSON LUDERER: No other questions. Do we
24 need to vote or just --

25 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, you need

1 to vote, but it can just be a hand vote. That's fine.

2 CHAIRPERSON LUDERER: Does anyone have any
3 additional questions before we vote?

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: So we're
5 voting to remove it from the current Prop 65 list?

6 CHIEF COUNSEL MONAHAN CUMMINGS: (Shakes head.)

7 COMMITTEE MEMBER HERTZ-PICCIOTTO: No we're --
8 this is a separate list.

9 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, it's a
10 separate list and it was required in the original statute.
11 It's not entirely clear the purpose of it, but I think it
12 was just to point out that there were chemicals that
13 needed additional testing. And so we check with these two
14 agencies to find out whether that has been received or
15 not. And so we'll take the chemical off, once they've
16 received the data they requested. So sometimes we add an
17 additional test that they're asking for or we'll make
18 another update to add a chemical that needs additional
19 testing. But it's really -- it's completely separate from
20 the Prop 65 list.

21 COMMITTEE MEMBER HERTZ-PICCIOTTO: And I don't
22 know. Yeah. Okay. So this is just saying because EPA
23 did it, we believe that we trust their authority in this?

24 CHIEF COUNSEL MONAHAN CUMMINGS: Right. We're
25 just relying on their statement that the requirements that

1 they've put on these chemicals have been satisfied and the
2 same thing for DPR.

3 CHAIRPERSON LUDERER: Any other questions?

4 Okay. I guess we're ready to vote then. Do
5 we -- can we -- we can vote on them all together.

6 CHIEF COUNSEL MONAHAN CUMMINGS: (Nods head.)

7 CHAIRPERSON LUDERER: We don't have to vote on
8 them separately. All right.

9 CHIEF COUNSEL MONAHAN CUMMINGS: Right, it's just
10 consent to go ahead and make the changes.

11 CHAIRPERSON LUDERER: Okay. So the text is based
12 upon the recommendations in the OEHHA staff report, should
13 section 27000 of Title 27 in the California Code of
14 Regulations be amended as indicated in Section 6 of the
15 staff report?

16 So who votes yes, raise your hands.

17 (Hands raised.)

18 COMMITTEE MEMBER HERTZ-PICCIOTTO: Wait a second.
19 We're going them all at once?

20 CHAIRPERSON LUDERER: Yes.

21 COMMITTEE MEMBER HERTZ-PICCIOTTO: So if I
22 disagree on one of the compounds?

23 COMMITTEE MEMBER WOODRUFF: Which one?

24 CHAIRPERSON LUDERER: Vote no.

25 COMMITTEE MEMBER HERTZ-PICCIOTTO: Well, I

1 disagree a methyl isocyanate.

2 DR. IYER: Did you --

3 CHIEF COUNSEL MONAHAN CUMMINGS: I can't go back.
4 Can you --

5 I don't know how to go back.

6 So just as a reminder, this list doesn't affect
7 the Prop 65 list. These chemicals may or may not be
8 already listed, but this is just basically saying that
9 we're going to make these changes based on the information
10 we received from U.S. EPA and DPR. And it's fine if you
11 vote no, either way.

12 CHAIRPERSON LUDERER: All right. Let's -- shall
13 we try again. Who -- raised your hand if vote yes?

14 (Hands raised.)

15 CHAIRPERSON LUDERER: Okay. Eight yes.

16 And raise your hands for those voting no.

17 (Hand raised.)

18 CHAIRPERSON LUDERER: One. All right. Thank
19 you.

20 Now, we can move on to the staff updates. This
21 is going to be on chemical listings via the administrative
22 listing mechanisms and safe harbor level development. And
23 Julian Leichty, Special Assistant, will be talking about
24 that.

25 MR. LEICHTY: All right. So since the

1 MR. LEICHTY: And on this last slide you'll see
2 we have also proposed safe harbor levels for two
3 chemicals. We're still in the regulatory process for
4 maximum allowable dose levels by the oral, inhalation, and
5 dermal route for chlorpyrifos; and a no significant risk
6 level for p-chloro-alpha,alpha,alpha-trifluorotoluene.

7 I'll now turn things over to Carol.

8 CHIEF COUNSEL MONAHAN CUMMINGS: Hi. Back again.
9 Sorry.

10 (Laughter.)

11 CHIEF COUNSEL MONAHAN CUMMINGS: So I just
12 usually give a litigation update. And so I was just going
13 to skip through these cases rather quickly, because most
14 them are not related to this Committee directly. We do
15 have two cases that are in the federal courts right now.
16 One dealing with the warnings for glyphosate, which was
17 listed in 2017. Those are first amendment challenges.
18 There's also a similar challenge that was recently filed
19 against the warnings for acrylamide in food. Both of
20 those are still at the trial level in the federal courts.

21 We have a -- we continue to have a case in the
22 court of appeal on the listing of BPA as a developmental
23 toxicant. As you may recall, it's on the list for female
24 reproductive toxicity, but the court required us to delist
25 it some time ago. The case has been waiting since about

1 2015 for hearing. And we don't have a date for hearing
2 yet.

3 There -- and similarly, there's a case pending on
4 the listing of DINP, which has been briefed, but there's
5 no hearing date set for that one.

6 We were successful in defending a case that was
7 filed by Syngenta Crop Protection regarding our listing of
8 three triazine pesticides and three breakdown products.
9 The court of appeal agreed with the trial court that the
10 listing was within our authority to do. The Syngenta Crop
11 has asked the California Supreme Court to review that
12 decision. They're not required to. And we're waiting for
13 a decision from the court about whether they will hear it.

14 And our other cases are probably not of any
15 interest to you.

16 So. Any questions on those?

17 Okay. Thanks.

18 CHAIRPERSON LUDERER: Thank you both of you.

19 We -- the final -- well, we were discussing
20 whether we wanted to revisit the questions that had been
21 brought up by Dr. Woodruff regarding kind of the format by
22 which the data summaries are presented in the document
23 that's provided to the Committee by the staff or also --
24 or possibly other -- another question that was brought up
25 was regarding the search strategy and how that's

1 presented.

2 So do we want to have any further panel
3 discussion about that this afternoon?

4 Dr. Allard.

5 COMMITTEE MEMBER ALLARD: No. I just want to
6 agree. I think a flowchart of the -- that gives you an
7 idea of the number of studies and also the inclusion
8 exclusion criteria. So Basically a visual for this. And
9 then I'm -- I apologize if it's in there, but I -- I
10 thought the graph that was presented earlier with the odds
11 ratio across the different studies was extremely useful.
12 Was that in the HID?

13 Okay. So that's what -- thank you.

14 All right. So I thought this -- that kind of
15 visual is extremely informative. Of course, there's more
16 to each study than just that. But, you know, in order for
17 us to really get a quick glance at the wealth of studies,
18 especially for chemicals like what we had to evaluate. I
19 thought that was extremely informative to have like those
20 kind of graphs.

21 COMMITTEE MEMBER WOODRUFF: Yeah. I -- man, talk
22 about this at 4:00 not so enticing. But I want to say
23 that there's a couple of things that -- just to follow up
24 on what Dr. Allard was saying is that he -- I and -- I'm
25 trying to think of who else has been on here. Larry. I

1 think we're the ones with the longest tenure on this
2 Committee.

3 So -- or maybe -- no, I think we were before
4 Ulrike, weren't we? Yeah, probably.

5 So just over time, there's been a lot more
6 developed around systematically searching the literature
7 and search strategies and also tools to report to document
8 searches, as well as upload them and make them publicly
9 available and -- I'll send this to you, but there was a
10 nice -- there's been some work on systematic evidence
11 mapping. So developing a protocol and then using Tableau,
12 which is a publicly-available software, to document the
13 evidence for the different health effects.

14 So it would allow us to see more clearly, a
15 little bit like what you had for the epidemiology studies,
16 where the evidence you have in terms of certain outcomes
17 for the -- whatever the chemical is that is under
18 consideration. And this was done recently in a paper in
19 an online, available, interactive, graphical database for
20 perfluorinated chemicals, which I think would be quite
21 useful if the State of California did it, because its --
22 it also creates a living document record of what studies
23 there are out there. And then you can add to it later and
24 then people -- it's much easier visually for people to
25 see.

1 Plus, if you have a protocol about how you did
2 your systematic review, people will have more confidence
3 and be able to trace from the beginning to the end how the
4 study happen -- how you included the studies, in the
5 review and I think that's important, because I think
6 studies came up today that weren't included or people
7 found outside, and it wasn't always clear. And I think if
8 we have something to point to that has more clarity around
9 it, it will make your job a lot easier and it will make it
10 more clear for the public and ourselves for looking at the
11 studies.

12 So -- and I just think that we can display the
13 animal and the human evidence in a similar way. I think
14 there's some opportunities to do them graphically that
15 would help bring more clarity to what we see in the
16 studies. I thought it was helpful with the human studies,
17 like Patrick said.

18 CHAIRPERSON LUDERER: I just wanted to add that I
19 thought that the data that were provide in the tables on
20 the animal studies, that was very -- they were very
21 thorough and it was actually very useful and helpful in
22 reviewing those papers.

23 COMMITTEE MEMBER WOODRUFF: Yes. I will say
24 right -- Larry said that it -- definitely, we've gotten --
25 the tables have gotten so much better since when we

1 started. I can't even remember what we had when we
2 started, but I'm sure you guys have a record of it. So,
3 yeah, and I think we -- there's -- as people are doing --
4 there's more of these automated tools out there. You guys
5 you Swift for some of your -- your review searching that
6 we can continue to improve them, so that they have --
7 they're more easier to see the key elements of the
8 studies.

9 CHAIRPERSON LUDERER: Dr. Zeise.

10 DIRECTOR ZEISE: Yeah, I just -- maybe to get a
11 little more clarification, so that we understand what
12 maybe you mean by the evidence map. So this is where you
13 would display the study counts for each outcome, is that
14 right? When I'm thinking about the Tableau table, what
15 you're talking about --

16 COMMITTEE MEMBER WOODRUFF: Right.

17 DIRECTOR ZEISE: -- is looking at --

18 COMMITTEE MEMBER WOODRUFF: Right.

19 DIRECTOR ZEISE: -- endpoint by endpoint and
20 having the study count. So some of the material that was
21 presented in the presentations would then be put in a
22 tabular form.

23 COMMITTEE MEMBER WOODRUFF: Right. And so I
24 think you had that with the epi studies, there was a table
25 that said, okay, for this -- whatever this endpoint --

1 cognitive, and they had this Many studies for the
2 cognitive endpoint. And then we had this many studies for
3 the -- okay, the motor endpoint.

4 First of all, doing that for the animal studies,
5 because like I said, there was all these -- there were a
6 number of tests that were the same across the studies, and
7 being able to look at them together, because one of the
8 things that's challenging when reviewing this is we want
9 to look at people who've looked at the same endpoint but
10 from different study designs or different study
11 conditions.

12 And having that at least in a place where we
13 could -- you could see them -- the value of having it on
14 the internet and accessible is that then it's easy for
15 you -- for everyone to see it or access it.

16 DIRECTOR ZEISE: I think we can explore -- we can
17 explore that. In terms of a State government, we'll see
18 what we can do and what's possible.

19 COMMITTEE MEMBER WOODRUFF: Of course, you can't
20 make this -- the study themselves, but we could see the
21 abstracts in the titles.

22 DIRECTOR ZEISE: So anyway, we'll explore that.

23 COMMITTEE MEMBER WOODRUFF: I totally get the
24 copyright issue.

25 DIRECTOR ZEISE: Martha, do you have --

1 DR. SANDY: Just to follow up on that. We also
2 are usually doing this in a year or less, as opposed to
3 some other agencies at the federal level and other
4 organizations that may have more time.

5 COMMITTEE MEMBER WOODRUFF: Yeah, that PFAS one
6 was done by a non-profit, so...

7 DR. SANDY: Yeah.

8 COMMITTEE MEMBER WOODRUFF: That one, I agree
9 that you guys -- I was actually wondering when you
10 started. And you said it was March, so -- and this is --
11 what is this? I think this is November. Or, no, we're in
12 December. So, yeah, so that's -- but I think if you also
13 use the same method -- if you develop a method and then
14 use it the same as you move through your studies, I think
15 the -- your initial investment will be high, but over time
16 it will be more efficient. You look skeptical, but --

17 DR. SANDY: We will -- we will explore --

18 COMMITTEE MEMBER WOODRUFF: We will test it. You
19 could actually test that.

20 DR. SANDY: -- what we can do, yes.

21 COMMITTEE MEMBER WOODRUFF: Yeah.

22 DIRECTOR ZEISE: And I just wanted to clarify
23 with respect to the missing study. I think what we did
24 say was that it was actually in the document one of the
25 excluded ones, because it was cross-sectional, so just

1 wanted to clarify for the record.

2 COMMITTEE MEMBER WOODRUFF: That wasn't -- that
3 wasn't the one only, but that's fine. In the end, we had
4 a lot of studies, so I think that -- where we're going to
5 be challenged is when we're talking about chemicals that
6 don't have a lot of studies, we want to make sure we
7 capture everything. So in this case, it probably didn't
8 really influence what we were looking at.

9 DR. KAUFMAN: This is Dr. Kaufman.

10 It has been our policy in the past to include as
11 many studies as we identify. And in this case -- and that
12 would include cross-sectional studies. In this case, the
13 volume of studies was so great, that we felt it was --
14 would be a burden, first of all, to -- on the Committee to
15 go through even more studies, and felt that classifying
16 the studies as higher quality, better quality, and of not
17 so good quality would have been more useful to the
18 Committee. And so we did that and excluded all of the
19 studies that were ecological, and, as I mentioned,
20 cross-sectional.

21 If this -- if the Committee deems to choose a
22 different way for us to approach this, we would definitely
23 be open to it.

24 COMMITTEE MEMBER WOODRUFF: Yeah, I'm not -- I
25 agree that there can be ways, if you have a large

1 database, to winnow down your -- the study types, right?
2 That is totally appropriate. And I think if you have a
3 lot of prospective cohort studies, you're right, there's
4 no reason to look at ecological studies. I think what
5 would help in the document is that the language was a
6 little bit vague around that in term -- I mean, I would be
7 very crystal clear, we only looked -- we only included
8 prospective cohort studies period.

9 Whereas, I think the language in the -- in the
10 appendix is maybe not quite as clear. And then also I
11 think it's important for us to know that that's like what
12 that universe looks like. I mean, because you -- it's
13 great that -- to have that decision, but we would probably
14 want to review that also, right, as a Committee?

15 DR. KAUFMAN: Yes. It was our intent and we had
16 a draft. The time constraints due to the volume and
17 complexity of this data set precluded us from executing
18 that and presenting it in the HID. But I totally agree
19 with you, that is very useful and we will definitely
20 strive to include all that in the future.

21 COMMITTEE MEMBER WOODRUFF: There was something
22 else about that I wanted -- but anyway. Thanks.

23 CHAIRPERSON LUDERER: Thank you. If we have no
24 further discussion, Dr. Zeise, would you --

25 DIRECTOR ZEISE: Let me -- thank you. Let me

1 summarize the Committee's actions. So the Committee voted
2 unanimously to add cannabis(marijuana) smoke as being
3 clearly shown through scientifically valid testing,
4 according to generally accepted principles to cause
5 developmental toxicity. So it would be added to the
6 Proposition -- it will be added to the Proposition 65
7 list. It requires six votes or a quorum of this Committee
8 is six votes and it received 9. So we're going to add
9 that to the list.

10 And similarly, delta-9-tetrahydrocannabinol
11 (delta-9-THC) was also voted with eight yes votes, one no
12 vote. So six yes votes are required to add the chemical
13 to the list. And again, for both of these, it will be
14 added to the list of chemicals known to cause reproductive
15 toxicity for the developmental endpoint.

16 So that's the Committee's actions on the
17 substances that were considered.

18 And then in terms of the Section 2700[SIC], the
19 Committee voted eight yes, one no to amend Section 6 of
20 the staff -- based on Section 6 of the staff report to
21 amend section 2700[SIC] of Title 27 California Code of
22 Regulations. So since six yes votes were required to make
23 changes to that list in that section, those changes will
24 also be made.

25 So that is the summary of the Committee's

1 actions. And then I think just to close by thanking the
2 Committee for the tremendous amount of work that went into
3 preparing for this meeting. Always amazed at how well
4 prepared the Committee is and the level of discussion
5 underway. So we really appreciate the Committee for all
6 the work done and for their -- providing their time and
7 expertise to the State of California to address these
8 important issues. And cannabis is a very important issue.
9 So thank you to the Committee.

10 And I want to thank members of the public for
11 taking the time to participate in the meeting and listen
12 online. So much appreciate your participation as well.

13 And I want to thank the OEHHA staff for the
14 tremendous amount of work that went into preparing the
15 materials for the meeting, and all of the
16 behind-the-scenes work that needs to be done. So I thank
17 the RCHAB team, I thank our Executive Office staff, and I
18 think the implementation staff for all the work that went
19 into this meeting. So thank you all and have a very Happy
20 Holidays and a very safe trip home.

21 CHAIRPERSON LUDERER: Thank you. And the meeting
22 is now adjourned.

23 (Thereupon the Developmental and
24 Reproductive Toxicant Identification
25 Committee adjourned at 4:09 p.m.)

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Office of Environmental Health Hazard
6 Assessment, Developmental and Reproductive Toxicant
7 Identification Committee was reported in shorthand by me,
8 James F. Peters, a Certified Shorthand Reporter of the
9 State of California, and thereafter transcribed under my
10 direction, by computer-assisted transcription.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said meeting nor in any
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 29th day of December, 2019.

16
17
18
19
20 

21
22 JAMES F. PETERS, CSR, RPR
23 Certified Shorthand Reporter
24 License No. 10063
25