

VIDEOCONFERENCE MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT  
IDENTIFICATION COMMITTEE

ZOOM PLATFORM

TUESDAY, DECEMBER 14, 2021

10:00 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Ulrike Luderer, PhD, MPH, Chairperson

Patrick Allard, PhD

Diana Auyeung-Kim, PhD

Carrie Breton, PhD

Aydin Nazmi, PhD

Isaac Pessah, PhD

Irva Hertz-Picciotto, PhD

Tracey Woodruff, PhD

STAFF:

Lauren Zeise, PhD, Director

Dave Edwards, PhD, Chief Deputy Director

Carol Monahan Cummings, Chief Counsel

Marlissa Campbell, PhD, Staff Toxicologist, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Vincent Cogliano, PhD, Deputy Director, Division of Scientific Programs

Julian Leichty, Special Assistant for Programs and Legislation, Proposition 65 Implementation Program

Ling-Hong Li, PhD, Staff Toxicologist, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

APPEARANCES CONTINUED

STAFF:

Francisco Moran, PhD, Acting Chief, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Yassaman Niknam, Phd, Staff Toxicologist, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

ALSO PRESENT:

Allegra Kim, PhD

Suzanne Hume, CleanEarth4Kids.org

INDEX

	<u>PAGE</u>
I Welcome and Opening Remarks	1
II Consideration of Perfluorononanoic Acid (PFNA) and Its Salts as Known to the State to Cause Reproductive Toxicity (based on Male Reproductive Toxicity):	6
Staff presentation	7
Committee discussion	39
Public comments	97
Committee discussion and decision	99
III Consideration of Perfluorodecanoic Acid (PFDA) and Its Salts as Known to the State to Cause Reproductive Toxicity (based on Male Reproductive Toxicity):	
Staff presentation	101
Committee discussion	123
Public comments	145
Committee discussion and decision	149
IV Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	155
V Staff Updates	
Chemical listings via the administrative listing mechanisms and safe harbor levels	158
Other regulations and litigation	159
VI Summary of Committee Actions	165
Adjournment	167
Reporter's Certificate	168



1 is shown on the slide that is currently being presented.  
2 Now, go to [bit.ly/registerDARTIC2021](https://bit.ly/registerDARTIC2021), which I am currently  
3 putting in the chat as well, and register for today's Zoom  
4 webinar. You will receive a link to join the webinar at  
5 the end of the registration process. And if you provided  
6 a working email address, you will also receive an email  
7 with a link to join the webinar.

8 Information on how to access a speaker request  
9 card is also shown on this slide. Go to  
10 [bit.ly/OEHHADARTIC2021](https://bit.ly/OEHHADARTIC2021) and request to speak on a specific  
11 agenda item. It is requested that your Zoom display name  
12 match the name you use to fill out the speaker request  
13 form. Individuals who have not submitted a speaker  
14 request card may also indicate their wish to make an oral  
15 comment by using the raise hand function when requested by  
16 the Chair. That's in the Zoom control panel.

17 I'll also add briefly that as to -- as regards  
18 closed captioning, for those joining the Zoom webinar,  
19 artificial intelligence-, AI, generated subtitles and a  
20 full transcript can be displayed. The subtitles will be  
21 visible to those joining the listening and viewing only  
22 broadcast. Thank you.

23 DIRECTOR ZEISE: Thanks, Dr. Marder.

24 Now, I'm going to introduce the Panel, the  
25 Developmental and Reproductive Toxicant Identification

1 Committee. And as I introduce you, if you could raise  
2 your hand.

3 So we'll start with Dr. Patrick Allard, Associate  
4 Professor at the University of California, Los Angeles,  
5 Institute for Society and Genetics.

6 Dr. Diana Auyeung-Kim, Executive Director, head  
7 of gRED Non-clinical Operations, GNO, safety assessment at  
8 Genentech.

9 Dr. Carrie Breton, Associate Professor of  
10 Population and Public Health Studies, Keck School of  
11 Medicine, University of Southern California.

12 Dr. Hertz-Picciotto, Professor of Epidemiology and  
13 Chief, Division of Environmental and Occupational Health  
14 at the University of California, Davis.

15 Our Committee Chair, Dr. Ulrike Luderer,  
16 Professor of Medicine, School of Medicine, and Director  
17 Center of -- for Occupational and Environmental Health,  
18 University of California, Irvine.

19 Dr. Aydin Nazmi, Professor of Food Science and  
20 Nutrition at California Polytechnic State University, San  
21 Luis Obispo.

22 Dr. Isaac Pessah, Associate Dean and  
23 Distinguished Professor, School of Veterinary Medicine,  
24 University of California, Davis.

25 Dr. Tracey Woodruff, Professor, Developmental

1 Obstetrics, Gynecology and Reproductive Sciences and  
2 Director of Program on Reproductive Health and the  
3 Environment, University of California, San Francisco.

4 Okay. So welcome, panel, and thank you for your  
5 participation today.

6 Now, I'll introduce OEHHA staff starting with Dr.  
7 Dave Edwards, Chief Deputy Director. This is Dr. Edwards  
8 first meeting with us. Carol Monahan, Chief Counsel. Dr.  
9 Vince Cogliano, Deputy Director for Scientific Programs.

10 Now, from the Reproductive Cancer and Hazard  
11 Assessment Branch, Dr. Martha Sandy, Branch Chief, Dr.  
12 Francisco Moran, Acting Section Chief of the Reproductive  
13 Toxicology and Epidemiology Section. And then other staff  
14 of the Reproductive Toxicology and Epidemiology Section  
15 that the Committee will be hearing from today, Dr.  
16 Ling-Hong Li.

17 DR. LING-HONG LI: Good morning.

18 DIRECTOR ZEISE: Dr. Melissa -- Marlissa  
19 Campbell, Dr. Yassi Niknam, and then finally Dr. Allegra  
20 Kim, who summarized the epidemiology studies when she was  
21 with OEHHA, and she will also be presenting.

22 And then from the Proposition 65 Implementation  
23 Program, Julian Leichty, Special Assistant for Programs  
24 and Legislation, Esther Barajas-Ochoa, and Tyler Saechao.

25 Okay. Now, I'll ask Carol Monahan Cummings,

1 OEHHA Chief Counsel, for some introductory remarks about  
2 Bagley-Keene and other legal issues related to  
3 participation in today's virtual meeting.

4 CHIEF COUNSEL MONAHAN CUMMINGS: Good morning.  
5 It's good to see everybody here today. I just had a few  
6 points I wanted to make. First, please feel free to ask  
7 me any questions at any time during the meeting. I'll be  
8 here the whole time. If I do have to step away for any  
9 reasons, Senior Staff Counsel, Kristi Morioka will cover  
10 for me. So there will be an attorney here all the time.

11 Please remember that all discussions and  
12 deliberations for this group need to be conducted during  
13 the meeting, not on breaks, lunch, or with individual  
14 members of the Committee on- or offline, including via  
15 phone, email, chats, or text messages.

16 At today's meeting, you'll be considering two  
17 chemicals for potential listing. OEHHA takes no position  
18 regarding whether a chemical should be listed, though  
19 staff are available to answer questions or locate  
20 information, if you need it.

21 The Governor appointed you because of your  
22 scientific expertise to be the State's qualified experts  
23 on the reproductive toxicity of chemicals. There's no  
24 need for you to feel compelled to go outside that charge.  
25 For example, you need not consider whether a warning may

1 be required for an exposure to a chemical, or any other  
2 consequences of the listing.

3           This Committee can consider human, animal,  
4 mechanistic, or other data in deciding whether a chemical  
5 has been clearly shown through scientifically valid  
6 testing, according to generally accepted principles, to  
7 cause reproductive toxicity. If you need for information,  
8 need more time to consider the evidence or discuss it  
9 further before you vote on a listing, there's no  
10 requirement that you make a decision today. For example,  
11 you may table the decision and take it up again at a  
12 future meeting.

13           Feel free to ask clarifying questions of me or  
14 the other OEHHA staff during the meeting. If we don't  
15 know the answer to your question, we'll do our best to  
16 find it and report it to you.

17           Do you have any questions?

18           Okay. Thank you.

19           DIRECTOR ZEISE: Thanks, Carol.

20           And now I'll turn the meeting over to our Chair,  
21 Dr. Ulrike Luderer.

22           CHAIRPERSON LUDERER: Thank you very much, Lauren  
23 and Carol. And good morning and welcome to all the  
24 Committee, members of the public who are joining today.

25           **CONSIDERATION OF PERFLUORONONANOIC ACID (PFNA) AND**

1        **ITS SALTS AS KNOWN TO THE STATE TO CAUSE REPRODUCTIVE**  
2        **TOXICITY (BASED ON MALE REPRODUCTIVE TOXICITY)**

3                CHAIRPERSON LUDERER: We're now ready to move on  
4 to our -- the first of two main agenda items, and that  
5 item is consideration of the perfluorononanoic acid, or  
6 PFNA, and its salts as known to the State to cause  
7 reproductive toxicity based on male reproductive toxicity.

8                So I would like to, having introduced the agenda  
9 item, now turn the floor over to Deputy Director for  
10 Scientific Programs, Dr. Vince Cogliano, to begin.

11                        **STAFF PRESENTATION**

12                DR. COGLIANO: Thank you, Dr. Luderer. Good  
13 morning everyone.

14                I'd like to endorse Lauren's welcoming remarks,  
15 especially our appreciation for your service as experts on  
16 this Committee. You have an important role in bringing  
17 current science to bear on decisions to benefit the health  
18 of all the people of California. We know you're here as a  
19 public service, and so to assist you, OEHHA has summarized  
20 the scientific evidence that you will consider. I'll turn  
21 the screen over to Dr. Martha Sandy, Chief of our  
22 Reproductive and Cancer Hazard Assessment Branch who will  
23 introduce the staff presentation.

24                Martha.

25                DR. SANDY: Thank you, Vince and welcome and good

1 morning to everyone.

2           Let me provide some background on the process by  
3 which perfluorononanoic acid, or PFNA, and its salts was  
4 given a high priority and selected for listing  
5 consideration. PFNA was brought to the DART IC for  
6 consultation and prioritization last year, in 2020, and  
7 this Committee recommended that PFNA be placed in the high  
8 priority group for future listing consideration.

9           OEHHA selected PFNA and its salts for  
10 consideration for listing, and in March 2021, OEHHA  
11 solicited from the public information relevant to the  
12 assessment of developmental and reproductive toxicity. No  
13 information was received on PNF -- PFNA and its salts.

14           OEHHA has focused its current review of PFNA and  
15 its salts on evidence of male reproductive toxicity. This  
16 information is summarized in the hazard identification  
17 document released in October 2021, which also includes  
18 information on PFDA and its salts, which will be discussed  
19 in a separate agenda item today.

20           The hazard identification materials on PFNA and  
21 its salts provided to the DARTIC for your consideration  
22 include the hazard identification document, the references  
23 cited within it, one additional epidemiology study, and a  
24 revised Table 4.1, which has been updated to include that  
25 study, and public comments received on the document.

1 I will now ask Dr. Pancho Moran, who is currently  
2 serving as the Acting Chief of the Reproductive Toxicology  
3 and Epidemiology Section, to begin the staff presentation.  
4 And I will note that we will take a break part way through  
5 the staff presentation to provide the Committee an  
6 opportunity to ask questions of clarification.

7 Dr. Moran.

8 (Thereupon a slide presentation.)

9 DR. MORAN: Thank you very much, Dr. Sandy. Good  
10 morning, everybody.

11 Is the slide visible for everybody?

12 DR. MARDER: Yes, it is.

13 DR. MORAN: Just checking.

14 Okay. So good morning. We will present an  
15 overview of the evidence of the male reproductive toxicity  
16 of perfluorononanoic acid, PFNA, and its salts. This  
17 presentation will be a brief overview of the data reviewed  
18 in the hazards identification document. Due to time  
19 constraints, this presentation is not able to cover every  
20 finding discussed in the HID. I would like to acknowledge  
21 that this work was a group effort from the staff in the  
22 Reproductive Toxicology and Epidemiology Section.

23 NEXT SLIDE

24 DR. MORAN: Here is an outline of this  
25 presentation. We will start by presenting introductory

1 information on chemical structure, use, occurrence and  
2 exposure, and the systematic literature review approach  
3 that we implemented.

4           Next, we will summarize key pharmacokinetic  
5 information for PFNA.

6           We will then present a brief summary of the male  
7 reproductive toxicity data for PFNA and its salts,  
8 starting with data from whole animal studies, followed by  
9 findings from human epidemiological studies.

10           An overview of mechanistic data for PFNA will  
11 then be presented, followed by a summary of those data.

12           The concept of the key characteristics of male  
13 reproductive toxicants will be presented, together with  
14 the key characteristics of endocrine disrupting chemicals.

15           We will conclude the presentation with a summary  
16 of the animal and human data.

17                           NEXT SLIDE

18           DR. MORAN: PFNA and its salts are a  
19 perfluorinated organic compound with surfactant properties  
20 that belongs to a group of chemicals that collectively are  
21 called per- and polyfluoroalkyl substances or PFASs. The  
22 chemical structure of PFNA is shown on this slide, where  
23 PFNA has a fully fluorinated nine-carbon chain.

24                           NEXT SLIDE

25           DR. MORAN: PFASs, including PFNA, have been used

1 to make products resistant to stains, grease, soil, and  
2 water. PFNA and its salts have been used in fluoropolymer  
3 manufacturing, and PFNA has been detected in Cosmetic  
4 products. There is limited information on the production  
5 and emission of PFNA and its salts.

6 NEXT SLIDE

7 DR. MORAN: PFNA is a global pollutant of air,  
8 water, soil and wildlife, and is present in the  
9 environment. Level of PFNA in Californians has been  
10 documented in several studies conducted between 2010 and  
11 2019 by Biomonitoring California with high detection  
12 frequencies.

13 NEXT SLIDE

14 DR. MORAN: OEHHA conducted literature search on  
15 the developmental and reproductive toxicity of PFNA and  
16 its salts. We used HAWC, Health Assessment Workspace  
17 Collaborative, as a tool for multi-level screening of  
18 literature search results. Then we focused on literature  
19 relevant to male reproductive toxicity.

20 NEXT SLIDE

21 DR. MORAN: Here we have a summary of the  
22 screening of the DART literature for PFNA with a  
23 particular focus on the literature relevant to male  
24 reproductive toxicity. Studies identified as providing  
25 general information on PFNA are shown here on the blue

1 box, while the studies relevant to male reproductive  
2 toxicity are highlighted by the red boxes. Note that for  
3 the human epidemiological data, the study designs were  
4 also identified.

5 NEXT SLIDE

6 DR. MORAN: PFNA is well absorbed and binds to  
7 serum proteins. It is widely distributed throughout the  
8 body, and in human tissues PFNA was found principally in  
9 brain, - which indicate that it can cross the blood-brain  
10 barrier, and in kidney, with lower levels in lungs and  
11 liver. PFNA was detected in semen, cord serum, fetal  
12 tissues, which indicate that it can cross the placenta and  
13 in breast milk. PFNA is not known to be metabolized in  
14 animals or humans, and the excretion is mainly through  
15 urine and feces, but a small amount are also found in  
16 nails and hair. The half-life of PFNA ranges from several  
17 years in humans to a few month in rodents.

18 Now, Dr. Ling-Hong Li will present data from  
19 whole animal studies.

20 NEXT SLIDE

21 DR. LI: Good morning. I will present an  
22 overview on the data available from whole animal studies  
23 on PFNA.

24 NEXT SLIDE

25 DR. LI: This table shows five groups of male

1 reproductive outcomes that we have data on PFNA. These  
2 are the outcomes that are generally used to assess male  
3 reproductive toxicity in animal studies. They include  
4 organ weights and histopathology, sperm production and  
5 quality, hormonal evaluation, reproductive performance  
6 including fertility, and development of the male  
7 reproductive system.

8 In the next few slides, I will present data on  
9 PFNA from animal studies on each of these five outcomes.

10 Next.

11 Next, please.

12 NEXT SLIDE

13 DR. LI: We found studies on PFNA in rats, mice,  
14 and zebrafish from the literature search. All studies in  
15 rats treated the animals by gavage. Six to ten pubertal  
16 or young adult -- adults per group received the treatment  
17 daily for 14 or 28 days. Similarly, studies in mice  
18 treated the animals by oral gavage. Three studies treated  
19 the prepubertal mice, one for 90 days, and two for 14  
20 days. Two studies treated pregnant mice during gestation  
21 and then either evaluated the testicular effects in  
22 neonatal mice or examined developmental landmarks in male  
23 offspring. The study by Zhang et al. treated zebrafish  
24 with PFNA in fish tank water for a total of 180 days.

25 Next.





1 Next.

2 NEXT SLIDE

3 DR. LI: Sperm parameters were measured in the  
4 control and three lowest dose groups in the 28-day NTP  
5 study in rats and in the 90-day study by Singh and Singh  
6 in mice. In the NTP study, epididymal sperm count was  
7 significantly reduced in a dose-dependent manner in the  
8 second and third lowest dose groups. In the study in  
9 mice, sperm number, motility, viability were all  
10 significantly reduced in the high-dose group.

11 Next.

12 NEXT SLIDE

13 DR. LI: The hormonal effects of PFNA were  
14 assessed in rats, mice, and zebrafish. Statistically  
15 significant reduction in serum testosterone levels was  
16 found in rats and mice. In the 28-day NTP rat study,  
17 serum testosterone was measured in the control and three  
18 lower dose groups, and a significant reduction was seen.  
19 In the 14-day rat study by Feng et al., 2009, serum  
20 testosterone was significantly reduced in the high-dose  
21 group. In mice -- in mice, serum testosterone was  
22 significantly reduced in the 90-day study in adults in the  
23 high-dose group, and in pubertal mice in a 14-day study in  
24 both dose tested.

25 In zebrafish, serum testosterone was

1 significantly increased at the low dose, but not at higher  
2 doses. Significant reductions intratesticular  
3 testosterone levels were also found in pubertal mice at  
4 both doses tested following a 14-day exposure.

5 Next.

6 NEXT SLIDE

7 DR. LI: In addition to the effects on  
8 testosterone level, increased serum levels of MIS and  
9 estradiol, and decreased serum level inhibin B were found  
10 in rats. There was no effect on serum FSH or LH in rats.

11 Next.

12 Next, please.

13 NEXT SLIDE

14 DR. MORAN: Slide 19, ling-Hong.

15 DR. LI: There are two studies that evaluated the  
16 effects of PFNA on reproductive performance.

17 In the study in mice, the authors reported a  
18 significant reduction in litter size following mating of  
19 unexposed females with male mice at the end of the 90-day  
20 dosing period, but the detailed information on the study  
21 design was not presented in the paper.

22 In the 180-day study in zebrafish, the authors  
23 reported a significant reduction in egg hatching rates at  
24 the lowest and highest concentrations. However, both  
25 female and male fish were exposed to PFNA making it

1 difficult to determine if this effect was male-mediated.

2 Next.

3 NEXT SLIDE

4 DR. LI: There are two studies in mice assessed  
5 the effect of PFNA on development of the male reproductive  
6 system following prenatal exposure.

7 In the study by Das et al., the authors found  
8 significant delays in preputial separation in prenatally  
9 exposed male mice in the low and high dose groups.

10 In the study by Singh and Singh, the authors  
11 treated pregnant mice from gestational day 12 to birth and  
12 then examined the testis in neonatal mice on postnatal day  
13 3. Major findings from this study will be presented in  
14 the next two slides.

15 Next.

16 NEXT SLIDE

17 DR. LI: The authors reported no effects on  
18 testes weight or histology. However, based on these  
19 histopathological pictures the authors presented in the  
20 paper, as shown on this slide, the diameter of  
21 seminiferous cords from PFNA-treated mice appear to be  
22 smaller. These apparent histopatho -- histopathological  
23 changes suggest reduced Sertoli cell population.

24 The authors reported a 20 to 30 percent reduction  
25 in the testis weight, which did not reach statistical



1 DR. MORAN: Thank you, Dr. Li.

2 Now, we have time for questions from the DARTIC  
3 members, if they decide to.

4 CHAIRPERSON LUDERER: All right. DARTIC members  
5 if you if anyone has any questions, please use the raise  
6 hand method or you can also wave your actual hand. So I  
7 see that Dr. Pessah has a clarifying question. I'll start  
8 with you and I see that others also do.

9 COMMITTEE MEMBER PESSAH: All right. Thank you.  
10 I was wondering, did any of the studies you just presented  
11 provide quantitative levels of the PFNA in the serum or in  
12 the tissues?

13 DR. LI: The NTP study -- I'll take -- I'll try  
14 to respond and will go back to check on the NTP report  
15 more carefully, come back to you, Dr. Pessah. The NTP  
16 study measured serum levels of PFNA in serum. And I don't  
17 think that they measured the PFNA levels in testicular  
18 tissues.

19 COMMITTEE MEMBER PESSAH: Thank you.

20 CHAIRPERSON LUDERER: All right. Dr. Breton.

21 COMMITTEE MEMBER BRETON: Hi. Thanks. In the  
22 last study that you were just presenting with the  
23 intratesticular testosterone levels, I was wondering  
24 whether they also looked at circulating testosterone or  
25 serum testosterone. And just it would be helpful if they

1 happened to have any correlation between the  
2 intratesticular levels of testosterone and the circulating  
3 levels. Was that looked at all?

4 DR. LI: No. They only presented data on  
5 intratesticular T level.

6 COMMITTEE MEMBER BRETON: Okay. Thanks.

7 CHAIRPERSON LUDERER: All right. Dr. Woodruff.

8 COMMITTEE MEMBER WOODRUFF: Hi. I was interested  
9 in the figures that you showed on your literature search  
10 and that were using HAWC for the literature search tool.  
11 So I don't recall the little spider diagram being in the  
12 document. So that's why I was asking for the slides. And  
13 I was wondering -- and maybe -- I'm going to talk about  
14 this in -- when we go on to the main comments. But I'd  
15 like to hear maybe at the end of the presentations your  
16 plans for using HAWC more completely in terms of being  
17 able to add in the quantitative information from the  
18 studies. I think that would be a great use to the  
19 committee for reviewing this. And are you making the  
20 literature review component in HAWC public?

21 DR. LI: Pancho, would you like --

22 DR. MORAN: Yes. Yes. Yeah, of course. No, at  
23 this point, we are not making it public, but probably we  
24 are in the processes of early attempts of using this tool  
25 for our systematic literature view. So we are in the

1 process of adding more features to it as convenient for  
2 our studies.

3 COMMITTEE MEMBER WOODRUFF: Right. But I guess I  
4 would encourage the State to make that literature space --  
5 because you could make those projects public on HAWC. And  
6 I really think that that would be very useful as a public  
7 service tool.

8 DR. MORAN: Yes, I think we need to talk about  
9 that internally, because the Prop 65 may have some  
10 indication, some --

11 DR. SANDY: Yeah. This is Martha Sandy.

12 DR. MORAN: Yes.

13 DR. SANDY: So thank you, Tracey -- Dr. Woodruff  
14 for your comments and we'll take those under  
15 consideration, and we'll -- we can talk about this later.

16 COMMITTEE MEMBER WOODRUFF: Okay. Thanks.

17 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto, did  
18 you have a question?

19 DR. MARDER: You're muted.

20 CHAIRPERSON LUDERER: You're muted.

21 COMMITTEE MEMBER HERTZ-PICCIOTTO: There we go.  
22 Okay. So this is actually sort of a broader question.  
23 And I'm not sure about this. So the products in which  
24 PFNA is used, do those also typically include other --  
25 other perfluoro -- other PFAs? And I guess what I'm

1 really getting as is whether there's been any studies  
2 looking at mixtures in which PFNA would be one component?  
3 The concern being that we do always test things one by  
4 one. And as we know, that's not realistic and, you know,  
5 tends to -- it could be masking effects that are more a  
6 result of a mixture. So that's my question.

7 DR. SANDY: This is -- this is Martha Sandy.  
8 Thank you for that question. It's a good question. It's  
9 very difficult for us to find information on current use  
10 of PFNA. We do know from biomonitoring studies that we're  
11 exposed to it, but we -- and we focus on our hazard  
12 identification documents on testing of the chemical that  
13 we're considering.

14 So we have not focused on looking at mixture  
15 studies. And I don't recall that we found any. There may  
16 have been one. I'll turn to Dr. Moran, if he remembers  
17 anything.

18 DR. MORAN: Yes. As a common practice, we try to  
19 avoid in animal studies at the least mixtures, because,  
20 you know, we are trying to list one particular chemical,  
21 not a class, not a mixture. So when we run into those  
22 type of studies, we save it as a normal background  
23 information, but we concentrate on we can call it clean  
24 studies with one single chemical applied at the time.

25 On epi studies, of course, that is impossible, so

1 the answer is yes we are exposed to a mixture, but for  
2 animal studies, we concentrate on a single chemical at a  
3 time.

4 CHAIRPERSON LUDERER: Thank you. I'm not seeing  
5 any additional raised hands, so I believe we can go --  
6 continue with the staff presentations.

7 DR. MORAN: Okay. Thank you very much.

8 NEXT SLIDE

9 DR. MORAN: So Next Dr. Allegra Kim will discuss  
10 epidemiologic studies of PFNA that examined male  
11 reproductive outcomes.

12 Dr. Kim.

13 You may be muted, Allegra.

14 DR. SANDY: Dr. Kim, if you're able to unmute  
15 yourself and talk, otherwise we'll go to plan B.

16 Elizabeth, can you see --

17 DR. MARDER: Dr. Kim is unmuted. She's asking  
18 for a moment, please.

19 DR. KIM: Hello. Can you hear me now?

20 DR. MARDER: We can. Thank you, Dr. Kim.

21 DR. KIM: I don't -- I don't know what changed,  
22 because I didn't anything since our test. Anyway, I  
23 apologize for that. Good morning.

24 As is common with -- can we go to the next slide,  
25 please.



1 effect of maternal PFNA exposure on the developmental  
2 landmark anogenital distance in male offspring. The  
3 findings from these studies were inconsistent, with one  
4 study finding an increase and the other finding a decrease  
5 in anogenital distance. And there were two studies of  
6 prostate cancer or prostate-specific antigen as a marker  
7 for prostate cancer. These studies found no associations  
8 with PFNA.

9           Next slide.

10                           NEXT SLIDE

11           DR. KIM: The next few slides will focus on  
12 outcomes of reproductive function, starting with  
13 reproductive hormones. Decreased serum testosterone  
14 levels were associated with higher PFNA concentrations in  
15 serum, plasma, or semen in several studies of younger boys  
16 and men. These findings were in studies of pre-pubescent  
17 boys aged 6-9 years old, in 13-15 year old boys, in young  
18 men being considered for military service with a median  
19 age of 19 years, and in men visiting a reproductive health  
20 center. In this last study, the association was strongest  
21 in men under 30.

22           Other studies, most of which have small sample  
23 size or low serum PFNA, with median concentrations near or  
24 below 1 nanogram per milliliter, reported no associations  
25 or inconsistent results.

1           No consistent associations were seen with PFNA  
2 and other reproductive hormones or related proteins.

3           Next slide, please.

4                           NEXT SLIDE

5           DR. KIM: A cross-sectional study using data from  
6 the National Health and Nutrition Examination Survey,  
7 NHANES, reported that a doubling of serum PFNA was  
8 associated with a 16.3 percent higher concentration of  
9 thyroid stimulating hormone in males 12 to less than  
10 20-years-old. Associations with other thyroid hormones  
11 were not observed.

12          Next slide, please.

13                           NEXT SLIDE

14          DR. KIM: The study with the highest PFNA  
15 concentration reported a substantial and dose-dependent  
16 reduction in sperm concentration in the second and third  
17 tertiles, with a 25 percent reduction in the third  
18 tertile. A dose-dependent reduction in sperm count was  
19 also observed, although the reductions did not reach  
20 statistical significance. Findings on sperm morphology  
21 and/or sperm motility were inconsistent.

22          Next slide, please.

23                           NEXT SLIDE

24          DR. KIM: Sperm DNA integrity was examined in two  
25 studies with contrasting population samples. Infertile

1 men were overrepresented in the study by Pan et al., and  
2 underrepresented in the study by Specht et al.

3 Effects were seen by Pan et al., but not Specht  
4 et al. These effects were an increase in the percentage  
5 of sperm with high DNA stainability, which is an indicator  
6 of the percent of sperm with immature chromatin and an  
7 increase in the DNA fragmentation index. In the one study  
8 to examine IBF -- IVF outcomes, no associations with  
9 adverse effects were observed.

10 I will now hand the presentation over to Dr.  
11 Moran.

12 NEXT SLIDE

13 DR. MORAN: Thank you, Dr. Kim.

14 So in this section, we will present an overview  
15 of the mechanistic evidence on the effect on the  
16 hypothalamic-pituitary-gonadal or liver axis, and the  
17 effect on the thyroid and thyroid hormones. I would like  
18 to emphasize that this is an overview of the mechanistic  
19 data, and I refer to the -- you to the HID for discussion  
20 for additional study findings.

21 NEXT SLIDE

22 DR. MORAN: I would like to start with a brief  
23 review on the physiology of the  
24 hypothalamic-pituitary-gonadal-axis. Gonadotropin  
25 releasing hormone, GnRH, produced by the hypothalamus,

1 stimulates the pituitary to release gonadotropins,  
2 luteinizing hormone, or LH, and follicle stimulating  
3 hormone, FSH, that will stimulate the gonads to produce  
4 gametes and hormones such as testosterone, inhibin B, and  
5 Müllerian inhibiting substance.

6 NEXT SLIDE

7 DR. MORAN: The effect of PFNA on reproductive  
8 hormones in humans and whole animals have already been  
9 presented.

10 For in vitro endocrine effects of PFNA, we have  
11 that in cultured primary Sertoli cells isolated from eight  
12 weeks old rat, PFNA exposure resulted in increased in  
13 Müllerian inhibiting substance messenger levels, and  
14 decreased inhibin B messenger levels.

15 In a mouse Leydig cell tumor line treatment with  
16 PFNA resulted in a concentration-dependent decrease in the  
17 production of progesterone.

18 NEXT SLIDE

19 DR. MORAN: In the next three slides, I will  
20 summarize PFNA's effect on the expression binding and/or  
21 activity of HPG related hormone receptors.

22 The studies in vivo, in mice, reported that PFNA  
23 reduced androgen receptor messenger levels and in male  
24 Zebrafish, PFNA reduced gonadotropin receptor messenger  
25 levels in the gonads.

1           Reduced estrogen receptor-alpha and beta  
2 messengers in zebrafish brain at the low and -- at the low  
3 dose and increased messenger levels at the higher dose,  
4 also reduced androgen receptor messenger levels in the  
5 brain and increased liver messenger levels for estrogen  
6 receptor alpha and beta. In rainbow trout, cyt -- liver  
7 cytosol, PFNA displayed very weak competitive binding with  
8 estrogen to estrogen receptor alpha.

9                               NEXT SLIDE

10           DR. MORAN: In primary rat Sertoli cells culture,  
11 PFNA exposure resulted in a reduction in messenger levels  
12 of FSH receptor, and PFNA had no effect on androgen  
13 receptor messenger levels. In a Chinese hamsters ovary  
14 cell line PFNA had no androgen receptor agonist activity.  
15 However, PFNA did exhibit concentration-dependent  
16 antagonistic effects on dihydrotestosterone (DHT)-induced  
17 AR transactivation.

18                               NEXT SLIDE

19           DR. MORAN: In MVLN cells, a human breast  
20 adenocarcinoma cell line PFNA had no effect on estrogen  
21 receptor transactivation. And in a separate experiment,  
22 PFNA was found to inhibit the estrogenic response to  
23 estradiol in a concentration dependent manner. In MCF-7  
24 cells, another human breast adenocarcinoma cell line,  
25 co-treatment with estradiol and PFNA resulted in



1 gonads are produced from cholesterol in a series of  
2 sequential enzymatic reactions. There are two main types  
3 of enzymes in this pathway, first, the P450s highlighted  
4 by the red boxes and the hydroxysteroid dehydrogenase,  
5 HSDs, in the blue boxes. Remember this, because we will  
6 use this schematic representation to present data on the  
7 effects of PFNA on steroidogenesis.

8 NEXT SLIDE

9 DR. MORAN: PFNA reduced messengers and protein  
10 of P450 side chain cleavage enzyme in mice, and increased  
11 messenger in zebrafish. There were reduction in the  
12 messenger and protein expression of 3beta-HSD in mice and  
13 zebrafish.

14 NEXT SLIDE

15 DR. MORAN: For P450c 17, there were unclear  
16 effects in rats, and no effect on zebrafish. There was a  
17 reduction in messenger and protein expression of  
18 17beta-HSD in mice, and increased messengers levels in  
19 zebrafish.

20 PFNA exposure resulted in an increase in  
21 aromatase expression in zebrafish and has no effect on  
22 aromatase activity in a human placental choriocarcinoma  
23 cell line.

24 NEXT SLIDE

25 DR. MORAN: Now, Dr. Marlissa Campbell will

1 present data on thyroid hormones.

2 Thank you.

3 DR. CAMPBELL: Can you give me the slide, Pancho.

4 NEXT SLIDE

5 DR. CAMPBELL: Thank you. Just a brief  
6 introduction.

7 The thyroid hormones, T3 and T4, are produced and  
8 secreted by the thyroid gland in response to the  
9 regulatory hormones, thyroid stimulating hormone, or TSH,  
10 from the pituitary gland, and thyrotropin-releasing  
11 hormone, TRH, from the hypothalamus. Once secreted, T4  
12 can be converted to T3 or to reverse T3, represented as  
13 rT3, which is an inactive isomer of T3.

14 Circulating T4 and T3 can either be bound to  
15 carrier proteins or unbound, also called free.  
16 Measurements of serum thyroid hormone levels are typically  
17 referred to either as, "Free", which is the unbound only,  
18 or, "Total", which consist of the bound as well as the  
19 free.

20 Thyroid hormones regulate basal metabolic rate,  
21 as well as exerting control over growth, development, and  
22 differentiation of many cells and organ systems, including  
23 the testes. Thyroid hormone receptors have been  
24 identified on testicular cells and T3 binds directly to  
25 receptors on Sertoli cells. Binding of T3 to the



1 significant increase in thyroid stimulating hormone.

2 In rats, in the NTP 28 drinking water study of  
3 PFNA, the lowest observed significant effect level for  
4 outcomes of male reproductive toxicity was higher than the  
5 lowest observed significant effect level for thyroid  
6 outcomes and those were both total and free T4 levels.

7 In zebrafish, PFNA induced disruption of thyroid  
8 hormone transport, metabolism, synthesis, and function.

9 The authors suggested that an observed increase in  
10 transthyretin transcript in zebrafish could reflect  
11 induction of transcription due to competitive binding of  
12 PFNA. They proposed that PFNA could induce transthyretin  
13 transcription across species, and yet still produce  
14 opposite effects on thyroid hormone levels in rats versus  
15 zebrafish, in other words, the same mechanism could  
16 produce different apical outcomes.

17 In vitro, across several studies, PFNA bound to  
18 transthyretin and inhibited T4 binding. PFNA decreased  
19 proliferation of T3-dependent rat pituitary GH3 cells,  
20 with -- in the absence of cytotoxicity. An in silico  
21 molecular docking model found PFNA fit binding pockets of  
22 both TTR and thyroxine-binding globulin.

23 Overall, these results teased for a possible  
24 mechanistic relationship with PFNA -- between PFNA  
25 disruption of thyroid hormone function contributing to

1 observed male reproductive effects. While the available  
2 data are consistent with such a relationship, it cannot  
3 establish a cause and effect.

4 And turn back to Dr. Moran.

5 NEXT SLIDE

6 DR. MORAN: Thank you, Marlissa.

7 So now I'll present a summary of the mechanistic  
8 data for PFNA. We have the effects of HPG axis included  
9 altered hormone levels, such as reduced testosterone  
10 levels in male rat and mice and increased serum estradiol  
11 in male rat and zebrafish.

12 We have increased MIS, Mullerian inhibiting  
13 substance, messenger levels in rats and primary rat  
14 Sertoli cells, and decrease progesterone production in  
15 vitro. PFNA also induces changes in gene and protein  
16 expression of a number of enzymes and factors involved in  
17 steroidenic -- steroidogenesis in mice and zebrafish.  
18 PFNA interacts with estrogen receptors in several animals  
19 and in vitro models, and with the androgen receptor in  
20 vitro.

21 Affects gene and/or protein expression of some  
22 hormone receptors, such as decreased testicular androgen  
23 receptor in mice, decreased FSH receptor and LH receptor  
24 in rat -- in rat primary Sertoli cells and decreased brain  
25 estrogen receptor alpha and beta, and androgen receptor

1 also gonadal FSH and LF receptor, and increased liver  
2 estrogen receptor and estrogen alpha and beta in  
3 zebrafish.

4 PFNA interferes with thyroid hormones binding,  
5 serum levels, and function.

6 Now Dr. Yassaman Niknam will present a summary of  
7 the key characteristics -- characteristic of male  
8 reproductive toxicants and endocrine-disrupting chemicals  
9 for PFNA.

10 NEXT SLIDE

11 DR. MORAN: Dr. Niknam.

12 DR. NIKNAM: Good morning.

13 NEXT SLIDE

14 DR. NIKNAM: Recently, a set of key  
15 characteristics that are frequently exhibited by exogenous  
16 agents that can cause male reproductive toxicity, and  
17 another set that are exhibited by endocrine-disrupting  
18 chemicals, or EDCs for short, have been identified by  
19 scientific experts.

20 The key characteristics, or KCs for short, can  
21 encompass many types of mechanistic endpoints, and are not  
22 constrained to previously formulated hypotheses, allowing  
23 a broader consideration of multiple mechanistic pathways  
24 and hypotheses.

25 KCs are useful as a tool to identify, organize,

1 evaluate, and summarize relevant mechanistic data.

2 Next, please.

3 NEXT SLIDE

4 DR. NIKNAM: The eight KCs of male reproductive  
5 toxicants are shown on the left-hand side of this slide  
6 and the 10 KCs of EDCs are shown on the right-hand side.  
7 In the HID, we discussed the available mechanistic  
8 information in relation to these two sets of KCs.

9 Next, please.

10 NEXT SLIDE

11 DR. NIKNAM: The KCs shown here in bold are those  
12 for which there is applicable information from studies of  
13 PFNA and has already been presented by previous speakers.

14 Next, please.

15 NEXT SLIDE

16 DR. NIKNAM: And now here, this table summarizes  
17 the animal and human data for PFNA. All of the studies in  
18 animals were conducted by the oral route.

19 In rats or mice, there were reduced epididymal  
20 and testis weights. Histopathological changes were seen  
21 in the testis and epididymis, including interstitial cell  
22 atrophy, spermatid retention, germ cell degeneration, and  
23 other changes in rats, and germ cell degeneration in mice.

24 There were reductions in epididymal sperm counts  
25 in rats and mice, as well as reductions in epididymal

1 sperm motility and viability in mice. Serum levels of  
2 testosterone were reduced in rats and mice, and reductions  
3 in intratesticular testosterone levels were also seen in  
4 mice. Effects on the development of the male reproductive  
5 system included delayed preputial separation, reduced  
6 intratesticular testosterone, steroidogenic protein, and  
7 PCNA levels, which most likely indicate an inhibition of  
8 Sertoli cell proliferation in mice following in utero  
9 exposure.

10 In humans, a dose-dependent reduction in sperm  
11 concentration was observed in the study with the highest  
12 PFNA levels. Decreased serum testosterone levels were  
13 associated with higher PFNA concentrations in serum,  
14 plasma, or semen in studies of boys, adolescents, and  
15 younger men.

16 This concludes our presentation on PFNA.

17 Thank you.

18 CHAIRPERSON LUDERER: Thank you very much for  
19 those overviews.

#### 20 COMMITTEE DISCUSSION

21 CHAIRPERSON LUDERER: I'd now like to ask the  
22 Committee if anyone has any clarifying questions based on  
23 the most recent presentations. So again just you can  
24 raise your hand either on camera or using the raise hand  
25 function.

1           Let me see. Sorry. I'm having a bit of an issue  
2 here scrolling through the...

3           DR. MARDER: There are no raise hands --

4           CHAIRPERSON LUDERER: Okay. I can't see any.

5 Yeah. Thank you. I also don't see any, so then we can  
6 turn to the Committee discussion of PFNA. And so I'm  
7 going to now ask the discussants that were designated for  
8 each of the topic areas and we're going to start with the  
9 epidemiological studies. So I'd like to ask Dr.  
10 Hertz-Picciotto to begin discussing the epidemiological  
11 studies of PFNA.

12           COMMITTEE MEMBER HERTZ-PICCIOTTO: Thank you.

13           So this is an interesting set of data. I think  
14 the summary that was given was actually very -- it was  
15 really quite excellent and, you know, I will sort of  
16 briefly go through the studies, but just really want to  
17 thank the staff for the work that you've done in going  
18 through and summarizing all of these data. I will say my  
19 overall assessment is I'm a little bit -- I'm underwhelmed  
20 by the epidemiologic evidence on PFNA and the -- many of  
21 the studies have really null findings. And that's, you  
22 know -- that's at, you know, levels that we are seeing in  
23 the actual populations. The studies are, as a whole,  
24 quite a few of them did adjust for a lot of the factors  
25 that one would want to adjust for, not all however.

1           And the -- so the anogenital distance -- you  
2 know, as pointed out, there were sort of opposite effects.  
3 Although, the effect that were in the increased anogenital  
4 distance actually seemed to be more -- seemed to be just  
5 limited to one quartile. And I'm not sure it really --  
6 therefore, it really meant anything. So on balance, I see  
7 the evidence being more towards a decrease in anogenital  
8 discount, but again just two studies there.

9           For the sperm studies, and there were quite a few  
10 of them, the two that I think really stand out were the  
11 Pan study, which looked -- wait. Is it the Pan study?  
12 Sorry. Hold on. Let me see if I've got this right.  
13 Yeah, so the Pan study saw a number of outcomes that were  
14 sort of similarly showing decreased motility and decreased  
15 velocity measured in two different ways using curvilinear  
16 and straight velocity linear, and it also saw increases in  
17 DFI and in HDS. So this seemed to be -- and that was in  
18 the serum. In the semen, there was no association  
19 excepted for the increase in HDS. But that at least to me  
20 seemed to show sort of a bit of a coherent kind of set of  
21 results.

22           And then the other one was the Ma study, which  
23 was highlighted in the presentation as well -- and I'm  
24 having trouble finding it on my list -- the table here.  
25 Let's see. Yes -- which saw also a reduced sperm

1 concentration and that was in the IVF cohort. So it's a  
2 different -- it's a very specific population. But I think  
3 it's still valid to be looking at these findings in  
4 special populations that may, for one reason or another,  
5 be more susceptible. So that -- I thought that that was,  
6 you know, a finding that was definitely worth paying  
7 attention to.

8           The other finding that I found very impressive  
9 was the DNA methylation, the epigenetic study by Leter,  
10 which saw changes, in fact increases, in a couple of  
11 the -- like in the LINE-1 and also in the SAT-alpha, and a  
12 decrease in the flow cymetric -- flow cytometric assay  
13 using -- looking at the digital global methylation assay.

14           And these were part -- male partners of pregnant  
15 women, so the -- it was not actually having -- seemingly  
16 not having a clinical effect on fertilization, but  
17 nevertheless it -- these were -- seemed like important  
18 indicators to take into account.

19           And then, you know, other studies -- most  
20 studies -- most of the other studies looking at morphology  
21 really did not see anything. However, the Lewis study saw  
22 a greater proportion with normal morphology and a lower  
23 proportion with a coiled tail. So that -- there's really  
24 not much on morphologic outcomes in terms of sperm  
25 morphology.

1           The last outcome that I think was notable were  
2 several studies looking at a PSA, prostate specific  
3 antigen. And these studies actually -- one of the studies  
4 did find higher concentrations of PFDA actually in  
5 prostate cancer versus controls, but there was actually no  
6 association that they found with PSA.

7           Another study which came up with very -- quite  
8 null results on PSA seemed really quite flawed in that  
9 their adjustment for age was extremely crude. I mean, it  
10 was, you know, less than -- 49 and lower and 50 and above.  
11 And, you know, they had -- they had a very wide age range,  
12 including -- it was a very large study with thousands of  
13 men and they certainly could have done a much more precise  
14 parameterization of age. And, of course, age would be  
15 associated with both PSA and could be associated easily  
16 with -- with the -- with PFNA, because of the time trends  
17 in different perfluorinated substances, so that you would  
18 tend to have a longer period of some of the other PFAs for  
19 the older men. So I think it's pretty inconclusive around  
20 the PSA.

21           And I think that concludes the outcomes that were  
22 looked at epidemiologically. The hormone levels I think I  
23 mentioned, we have a little bit of data. And, you know,  
24 it is striking that animal studies seem to -- well, I'm  
25 not here to summarize that, but I do think we need to, of

1 course, look at all of the data as OEHHA has reminded us  
2 on previous occasions. But I would say that overall, the  
3 epidemiology is not very compelling around male  
4 reproductive outcomes, although there are some indications  
5 that I have pointed out, particularly related to some of  
6 the epigenetics and some of the other sperm  
7 characteristics like motility, but not enough replication  
8 of those findings to be -- to be -- to say something truly  
9 definitive.

10 CHAIRPERSON LUDERER: Thank you very much, Dr.  
11 Hertz-Picciotto. Next, I'd like to ask Dr. Breton to  
12 discuss the epidemiological studies as well.

13 COMMITTEE MEMBER BRETON: Sure. Thank you. I --  
14 I'll start by saying, you know, that I agree with much of  
15 what Dr. Hertz-Picciotto said. I would like to reiterate  
16 that I think for the -- just in summary that I think that  
17 the outcomes of anogenital distance and the cancer related  
18 outcomes generally have very few studies and they're very  
19 either null or quite inconclusive, in general, so there's  
20 really, I think in my mind, no evidence in support of  
21 those outcomes at this point in time.

22 The ones that have more data and more evidence I  
23 think are both in the area of the hormones a little bit,  
24 and then with the sperm function and motility. So I think  
25 that I'll start -- I just had a couple of additional

1 comments I wanted to make about a few of the studies.

2           The hormone -- the testosterone data in general I  
3 found, you know, it's very mixed from the studies that we  
4 have. But one of the ones -- the studies that I sort of  
5 gave a little bit more weight to was the study -- the 2020  
6 study by Cui. So it has a reasonable sample size of 650  
7 and it's actually the same study population as the Pan  
8 study that looked -- that was like the year before that  
9 looked at the sperm, so -- which I'll talk about later  
10 too.

11           And I think -- so this study did show decreased  
12 testosterone in serum and also in semen. And so what I  
13 liked about these two studies was they were the only  
14 studies that looked at levels in the semen in addition to  
15 serum, and saw similar results and I thought that that was  
16 fairly powerful that you're looking more in the target  
17 tissue in humans and you're seeing some effects of the  
18 PFNA. So I wanted to mention that.

19           And then -- but, you know, the other -- the other  
20 new study when it comes to hormones though that is  
21 conflicting, of course, is the Espinosa study, which was  
22 that later study that was added. And this has a really  
23 big sample size of more than 2000. It's -- you know, it's  
24 a higher exposed population, but it's boys. So it's --  
25 the age range is very different in that -- you know, that

1 may play a role in the effects that we either do or don't  
2 see. So they did not observe any effects on testosterone  
3 levels in that population, although they did for PFOA.  
4 So, you know, it looked at other PFOS, but -- or  
5 specifically PFNA, there was no evidence for an  
6 association.

7           So again, I'm left -- you know, the data on the  
8 testosterone is pretty mixed. And by itself, I would have  
9 a hard time saying there's real conclusive evidence, but I  
10 think in parallel with other evidence in vitro and animal  
11 models, you know, that we can discuss down the line, you  
12 know, that also paints a bigger picture.

13           So I think of all the outcomes actually the sperm  
14 function and motility studies probably have the greatest  
15 level of support from the epi literature. And Irva  
16 mentioned the two studies that -- like the Ma study as  
17 well as the Pan study, which I think, again, I was -- I  
18 really liked the -- liked those two because there's a lot  
19 of things that are different about them, but they are  
20 showing some consistency and results.

21           And again, the Pan study, because they had both  
22 semen and serum levels that showed consistency and a  
23 strong sample size, I thought that was good. The caveat  
24 there was that it's a mixture of men who are infertile and  
25 not -- fertile and infertile, and so I thought, you

1 know -- you know, sort of it's a mixed bag, but with the  
2 Ma study it's an IVF population and it's -- they were all  
3 couples who were coming to the clinic, because there was  
4 female infertility, so not male infertility by my read of  
5 the paper. And so that I thought was a good separate  
6 population of fertile men and you're seeing the same  
7 result even though the sample size was a lot smaller. So  
8 those were the two I think I wanted to point out with  
9 regard to sperm function.

10           And I guess my summary is that of all -- sort of  
11 all the epi literature to date, we probably have some  
12 evidence for reduction in sperm quality taken as a whole,  
13 particularly when you look at the parallels in semen and  
14 plasma or serums, and that they -- but it's really a mixed  
15 bag on testosterone and the other outcomes. There really  
16 have been very few studies and most of them have either  
17 been null or mixed results.

18           And I think that's about all I have.

19           CHAIRPERSON LUDERER: Thank you very much, Dr.  
20 Breton. Now, we're going to move on to the animal  
21 studies, so I'd like to ask Dr. Auyeung-Kim to discuss the  
22 animal studies.

23           COMMITTEE MEMBER AUYEUNG-KIM: Hi, everybody.

24           Yeah, I wanted to echo the sentiments of others  
25 that the OEHA staff really did a really good job of

1 summarizing the animal study data. I think it was very  
2 helpful to look at the data in a chart-like manner, rather  
3 than just discuss it. It definitely -- it was good to  
4 breakdown the effects that were seen in the papers.

5 So the NTP studies -- there was an NTP study  
6 where male rats were treated with -- treated with PFNA for  
7 28 days. And so a full sperm cycle in a rat is generally  
8 about, you know, 50 -- it's about 56 days. And so a full  
9 histologic -- histopathology was conducted in these  
10 studies, but it was associated at the higher doses in the  
11 study with deaths and at the higher doses, meaning five  
12 and 10 mg per kg, as well as some liver and  
13 immunotoxicology effects.

14 So essentially because the testes is presumed to  
15 be a target tissue for PD -- PFDA, it was evaluated  
16 further in this -- in this -- it was evaluated as a target  
17 tissue for this -- in this study.

18 And so, you know, as mentioned in the -- as  
19 mentioned in the summary previously, you did see some  
20 decreases in testes and epididymal weight, about 1.25, and  
21 lower testosterone levels compared to controls, and then  
22 some effects on the -- histologically on the epididymis  
23 and testes, so -- and so this -- in this study, I guess  
24 one of the issues I had was that it was -- we only eval --  
25 it was only evaluated -- the histo time points were

1 evaluated only one time point, and that was not a full  
2 sperm cycle. And so, you know, it's likely that with  
3 continued dosing that it would -- we would continue to see  
4 the same effects. And whether there would be recovery if  
5 there was no dosing, that was not able to be determined in  
6 this study.

7 Another study Feng et al. looked at the  
8 ultrastructural effects of PFNA on male reproductive  
9 organs and found that there was altered structure of the  
10 Sertoli cells. And this study, the animals were dosed for  
11 only 14 days at 1, 3, and 5 mg per kg. And so  
12 ultrastructurally there were -- there were impacts on the  
13 Sertoli cells and sperm, and essentially, you know,  
14 demonstrated that PFNA treatment for these 14 days can  
15 lead to the damage of specific secretory functions of the  
16 Sertoli cells, and -- let's see, then two additional rat  
17 studies were investigated on the hormones. And this is in  
18 the Hadrup paper as well as Feng. And it essentially  
19 showed that the plasma concentrations of testosterone  
20 were -- was -- were decreased.

21 Let's see. And then in the -- and then we move  
22 on to like the mice studies where essentially in these  
23 studies, we looked at -- or Das looked at PFNA in pregnant  
24 mice, and so you're -- the study looked at the development  
25 effects of the male pups. And so it's not a direct male

1 reproductive tox -- not of the direct effect on male  
2 reproductive toxicity.

3           And then the other mice studies conducted by the  
4 Singh lab treated prepubertal mice, and so they looked at  
5 the spermatogenesis, steroidogenesis, and fertility, and  
6 the effect of gestational exposure in the development of  
7 neonatal mice testes, and essentially did see some effects  
8 at the higher dose levels.

9           In one of the studies, male fertility was looked  
10 at and where unexposed females were mated with exposed  
11 males. And at the 0.5 mg per kg dose only five of the  
12 seven unexposed females were impregnated, whereas at the  
13 lower dose levels and the control, all the females became  
14 pregnant. And so whether this is part of variability is  
15 unknown.

16           And then there was also the zebrafish where  
17 essentially the zebrafish were exposed to different levels  
18 of PFNA for 180 days and led to a decrease in male GSI  
19 index, and increases in both -- and unlike in the rodent  
20 studies, it's all increases in testosterone levels in  
21 those studies.

22           So while the studies on their own do indicate  
23 that PF -- PFNA can be a male reproductive toxicant and  
24 impairs spermatogenic process, I think we need to look at  
25 the studies carefully. Each study has some deficiencies

1 that make it difficult to indicate that it's a clear  
2 reproductive toxicant, based on what information was  
3 provided.

4           The main issue I have is that the reproductive  
5 effects are observed at extremely high doses that are  
6 essentially thousands fold higher than what is seen in  
7 California residents. I think it was Dr. Pessah that  
8 asked earlier about the serum concentrations. And so both  
9 the NTP studies and the Hadrup paper both looked at serum  
10 concentrations.

11           So in the NTP studies toxicity was observed at  
12 1.25 mg per kg per day, which had a plasma concentration  
13 of approximately 161,000 nanograms per ml. And the Hadrup  
14 study, the plasma concentration in rats administered the  
15 lowest dose, which was 0.0125 mg per kg per day was 396  
16 nanograms per milliliter. And so this is in the range of  
17 the same exposure when taken into effect what the dose is.

18           And then in the HID that was sent to us, the  
19 Cali -- the range of PFNA that is observed in California  
20 residents ranged from 0.20 -- 0.205 in the California --  
21 nanograms per milliliter in the California Regional  
22 Exposure Study, and that was published in 2019, and  
23 then -- and then the high end was 1.15 nanograms per ml in  
24 the Firefighter Occupational Exposures Project, and that  
25 was a 2010-ish study.

1 Oh. So I see -- okay. I see Dr. Woodruff's  
2 comments on not supposed to make --

3 COMMITTEE MEMBER WOODRUFF: Yeah, I'll just say  
4 it. I just thought -- maybe Carol can weigh in. I  
5 thought we weren't supposed to consider dose exposure  
6 levels when we're making our evaluation of the hazard, is  
7 that right, Carol, can you clarify?

8 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah.  
9 Generally, that's true. You shouldn't consider the  
10 current dose that a person might be receiving, but I think  
11 you can certainly look at the doses in the studies.

12 COMMITTEE MEMBER WOODRUFF: Right. Right.

13 COMMITTEE MEMBER AUYEUNG-KIM: So based on my  
14 calculations, we're looking at doses that are  
15 approximately 150,000-fold higher than what may be  
16 observed in humans in California.

17 Additionally, I think that there is a very  
18 limited number of studies that did not have an overlap in  
19 the male reproductive evaluation. You had the NTP studies  
20 that was a repeat dose that looked at histologically and  
21 sperm parameters, but then -- and then in the remaining  
22 studies were mice studies that looked at more development  
23 exposure in younger mice.

24 And then the reproductive performance details are  
25 limited in the one study in -- conducted in the mice and

1 the zebrafish studies, both males and females were  
2 treated. And so -- and then lastly, the doses that  
3 affects were seen were also associated with other  
4 significant toxicity, such as decreased food consumption,  
5 body weight also, and overt liver toxicity or  
6 immunotoxicology in the animal models that were tested.

7 As such, I do not believe that the animal studies  
8 reviewed clearly indicates that PFNA is a male  
9 reproductive toxicant. I think that you know there are  
10 some further evaluation -- well, a further evaluation  
11 would be helpful to see -- to determine whether it's a  
12 reproductive toxicant -- male reproductive toxicant.

13 CHAIRPERSON LUDERER: Thank you very much, Dr.  
14 Auyeung-Kim.

15 Dr. Woodruff, would you like to discuss the  
16 animal studies next?

17 COMMITTEE MEMBER WOODRUFF: Yes. Sure. Thank  
18 you very much. And thank you for that summary and thank  
19 you to the staff for the summary. I want to start off by  
20 saying that I looked at the animal studies and I -- before  
21 I give my comments, I want to note that in terms of the  
22 sensitivity of animal studies in order to see an  
23 observational health effect, I'm talking about an apical  
24 endpoint, and I -- that there's been a lot of good  
25 literature, including reviews by the National Academy of

1 Sciences that find that while we have good concordance  
2 between animal and human studies humans are often much  
3 more sensitive in terms of their response -- their dose  
4 response than animal studies.

5           And there's a lot of good reasons why animals are  
6 not a very sensitive model for human health effects,  
7 including the -- some of it has to do with the exposures  
8 that occurred. Do they -- do the exposures during  
9 sensitive time periods of development. Also, Dr.  
10 Hertz-Picciotto raised the issue about cumulative  
11 exposures. Animals are individually dosed and it doesn't  
12 really -- it does not reflect the fact that there are  
13 multiple exposures occurring, so you would not expect a  
14 response at a similar level in humans.

15           And then I also want to comment on the  
16 statistical approaches that these studies often use. They  
17 typically compare individual doses to the control and  
18 don't look at the dose response. So while it's true that  
19 you may see more quote statistically significant responses  
20 at higher levels, there's often a dose response curve.  
21 And I'm going to talk about -- and those -- and so not all  
22 the data are modeled in this. And as you can observe  
23 trends in the data, it may not be -- but that is not  
24 necessarily statistically analyzed in studies, though that  
25 would be observed if they had done that. But it does

1 limit the statistical power if they only are looking one  
2 dose at a time.

3           And let me see. All the studies that -- as we  
4 noted, there are six animal studies. The OEHHA staff  
5 reviewed all the studies. Also, I want to note that there  
6 also can be strain and species differences in sensitivity.  
7 So some species are more responsive to exposures. Mice  
8 tend to be more sensitive to exposures than rats for  
9 example. In the studies, animals studies of PFNA, we saw  
10 exposures on rats, mice, and one in zebrafish. And they  
11 tended to evaluate more apical outcomes, so as was noted  
12 by the presentation, testicular weight, prepubertal  
13 separation, epididymal weight, sperm parameters, heads,  
14 viability, number, and testosterone.

15           But I really was -- I thought we had a lot of  
16 good information on exposures to PFNA in animals and  
17 responses in the biological mechanisms that are related to  
18 either male reproductive health or development male  
19 reproductive health effects. So I thought that made the  
20 information much more powerful.

21           I want to note that while there's six mammalian  
22 studies, four of them were published by the same authors,  
23 Singh and Singh, and they were all published in 2019.

24           I also went through the studies individually and  
25 evaluated the study quality, because -- and this is where

1 I feel it would be helpful from the OEHHA staff to look at  
2 what's been going on at EPA in terms of giving us better  
3 information about the parameters -- the methodological  
4 parameters that can contribute to internal validity in the  
5 studies.

6           And so I want to note that pretty much the  
7 studies -- so the NTP study, the Das study, the Singh  
8 Laboratory studies, and the -- looking down here -- the  
9 Zhang study, which is in zebrafish, and the Feng study  
10 which is a male -- I'm going to leave that one out,  
11 because they didn't report about whether they randomized  
12 or not. But the NTP, the Das, the Singh studies, and the  
13 Zhang studies all reported purity of the substance. They  
14 had high purity of the substance for exposure. They all  
15 randomized their study, the -- in the experimental design.  
16 It was not reported whether they did blinding of exposures  
17 and outcomes, so that makes the study a little more  
18 difficult to interpret. They did have complete outcome  
19 data and selective reporting and they didn't identify any  
20 other biases in the studies.

21           I want to note that the -- I looked at the  
22 studies a little bit differently, because there were two  
23 of the studies that were focused on prenatal exposures.  
24 And I think this is really important when we're thinking  
25 about comparing it to the human epidemiological evidence,

1 because we would expect that the prenatal period will be a  
2 more sensitive period for exposures to any particular  
3 chemical, and we can look at PFNA in this case, because it  
4 could affect male reproductive development. And as you  
5 see, there's been a lot of studies that have evaluated the  
6 relationship between exposure and different biological  
7 mechanisms that can contribute to male reproductive health  
8 effects.

9           So the prenatal exposure studies were the Das  
10 2015 study and the Singh 2019 study. I think what was  
11 nice about these two studies was that we saw -- actually,  
12 they're both in mice, one in CD-1 mice and the other in  
13 male Parkes mice. And they looked at different aspects of  
14 male reproductive development. Their gavage doses were in  
15 the similar range, as was actually all the studies that  
16 were conducted in animals studies for PFNA.

17           And they -- the Das study evaluated the  
18 prepubertal separation in males, and the Singh study  
19 looked at testes testosterone, and testes weight. And I  
20 know that there was a question earlier about testes  
21 testosterone. And I wanted to just say that that has been  
22 evaluated as a relevant male reproductive health effect.  
23 And I would refer that question to the National Academy of  
24 Sciences report on their systematic review of prenatal  
25 phthalate exposure in male reproductive health effects,

1 which also looked at testosterone in fetal testes.

2           So I want to note that in the Das study that  
3 there was no statistically significant effects in maternal  
4 body weight indicating that there's no effect of treatment  
5 on the mother, but they did see a difference in a  
6 dose-dependent manner on prepubertal separation. What I  
7 really liked about this study is that modeled the dose  
8 response and they calculated a benchmark dose at the five  
9 percent response level. And the benchmark dose at the  
10 lower end was 1.3 milligrams per kilogram day.

11           Now, I just want to note that this is a good  
12 example of where all the data were used to analyze the  
13 dose response and that they saw a response that's pretty  
14 much at the -- kind of -- I would say looking at across  
15 these dose response more at the lower end of the dose  
16 response across all the different animal studies.

17           I did also want to note that when you do the  
18 benchmark dose analysis, that allows -- because you're  
19 using all the data in the dose response, it allows a more  
20 sensitive evaluation of the statistical -- of the response  
21 level in animals. And so if you just analyze one dose at  
22 a time, it would be hard to see a five percent response,  
23 but because they're using all the data, they're able to  
24 see this five percent response, which is at a  
25 relatively -- which is at the bottom end of the dose

1 response range. And if you look at the graph in the paper  
2 itself, you can see that there's a dose response in the  
3 preputial separation, but that if you just compared one  
4 dose at a time, you would not see this five percent  
5 response. So I feel like this makes the data very  
6 compelling. And I just noted already what the  
7 methodological features on this study are.

8           And then -- so the other study that looked at  
9 prenatal exposures and response is the Singh study. And  
10 they also looked at -- let's me see, I'm just -- sorry. I  
11 have of files open here. And the Singh study looked at  
12 testes testosterone and testes weight. They evaluate it  
13 during gestational exposures and then looked at postnatal  
14 day three. And they also saw effects on testosterone and  
15 a trend in declining testes weight. And I think in this  
16 one, there was -- let me look at this one. Yeah, so  
17 it's -- that's -- so I did -- those are the two prenatal  
18 exposure studies.

19           And then the rest of the studies, as Dr.  
20 Auyeung-Kim noted were down in adulthood, though not  
21 during the full cycling of sperm, and the NTP study, the  
22 Singh study 2019, and -- sorry, I lost all my pages. And  
23 then the Zhang study, which is the -- in zebrafish.

24           And I want to just briefly mention the sperm  
25 quality, because this also is in relationship to the

1 epidemiology studies. So the NTP study, the Singh 2018,  
2 looked at sperm motility, viability, and number. On the  
3 NTP study they looked at epididymal sperm count. And I  
4 want to note that in the same 2019 study, that the body  
5 weight -- there was no effects on body weight of the  
6 animals at the different exposure levels, but there were  
7 effects on sperm, fertility, and proteins linked to sperm  
8 production. So I thought that was important to note.

9           There also was a dose response relationship  
10 between the exposures and the proteins and enzymes  
11 involved in testosterone biosynthesis including the StAR  
12 protein, and the SF1. And that histological, which I'm  
13 not an expert in histology, but that was presented by the  
14 study staff.

15           I just want to talk a little bit about this NTP  
16 study, because the other endpoint that was evaluated in  
17 the animal studies, that was more easy to -- easily  
18 compared across the studies was testicular effects, so  
19 testes weight, relative testes weight, and the  
20 gonadosomatic index, which is the gonad mass as a  
21 proportion of total body mass index. And I thought this  
22 was very important, because as Dr. Kim mentioned, that  
23 there can be some changes in body weight across the  
24 exposure groups, so it's important to look at the -- so  
25 what I did was look at the testes or the epididymal

1 weights as a proportion of the body weight.

2           So in the NTP study, there was, just looking at  
3 this here, a change across the body weights, but when you  
4 look at the -- and to be fair, I didn't have all the data  
5 for this, but just roughly looking at testes to body  
6 weight proportion, you see kind of a dose response  
7 decline, which you did also see for the ratio of the  
8 epididymal weight to the body weight. So I thought that  
9 was an important indicator. And finally, this effect was  
10 also observed in the zebrafish.

11           So I'm not going to -- I want to also mention  
12 that -- many of these studies also looked at -- I think  
13 someone else is going to talk about this -- exposures as  
14 it relates to the various biological mechanisms. And so I  
15 thought that the -- I think that one reason that it's --  
16 to me, the study was -- data was very compelling if you  
17 consider the fact that the studies are reasonably high  
18 quality and that if you look at the -- when they evaluate  
19 the dose response, that you do see these dose response  
20 effects and the fact that you see a relationship to these  
21 upstream biological indicators, including the proteins and  
22 enzymes that are part of testosterone synthesis.

23           And I just want to comment briefly on the  
24 epidemiological evidence, because it was not as robust as  
25 we would in general maybe look for, if we were in the area

1 of -- in certain areas. But I just also want to say  
2 that -- reemphasize the point about the sensitivity of the  
3 epidemiological evidence to observe these types of  
4 effects. The exposures are less and they can be less  
5 sensitive due to that. And so you would need a lot of  
6 people to see an effect. And so it's sometimes really  
7 good to have heterogeneity in findings because more -- it  
8 has studies that are more sensitive and able to pick up  
9 effects that we wouldn't see across the general  
10 population.

11 And so that could include also study populations  
12 that are having infertility issues, because they may be  
13 more sensitive to effects of -- or more responsive to  
14 effects of these chemicals like PFNA. And then also  
15 developmental exposures, and just wanted to comment on  
16 the -- on the -- when we say null findings, I think what  
17 sometimes we're -- we need to distinguish between what's a  
18 statistically significant -- what we have marked as a  
19 statistically significant association and an effect level  
20 where the confidence bound includes one.

21 And I did note in these epidemiology studies on  
22 the -- like this Lopez-Espinosa study that there was a  
23 relationship with some of these markers like -- well,  
24 testosterone and IGF, sometimes the confidence limit  
25 crossed the zero mark, but I think along with the animal

1 study, that gives us a good constellation of evidence for  
2 PFNA.

3 So I'm done.

4 CHAIRPERSON LUDERER: Thank you very much, Dr.  
5 Woodruff.

6 I'm going to now turn to the mechanistic studies  
7 and ask Dr. Allard to begin the discussion of those  
8 studies.

9 Dr. Allard.

10 COMMITTEE MEMBER ALLARD: Yeah. Thank you very  
11 much. Can you hear me well?

12 CHAIRPERSON LUDERER: Yes.

13 COMMITTEE MEMBER ALLARD: Yeah. Okay. Good.

14 Yeah. So a host of mechanisms were mentioned in  
15 the hazard identification document. Personally, I would  
16 not actually call them mechanisms as much as molecular  
17 endpoints. And so what I really to do is sort of weave  
18 the animal studies -- the outcome from the animal studies  
19 and some of the sort of molecular information that we  
20 have, and really try to get at biological plausibility.  
21 So I did take into account doses for some of the stuff  
22 that I will mention. But just in the sense of trying to  
23 see what is realistic to expect in terms of mechanistic  
24 effect and how that could indeed be happening in our  
25 animal studies.

1           So, you know, looking at the levels mentioned  
2 that I found in human serum, for example, we're talking  
3 about levels within the nanomolar range. So again, this  
4 is not necessarily a decision point, because we are on the  
5 hazard identification side of things, but this is more as  
6 a way to sort of guide the molecular analysis that I  
7 performed.

8           So my reading of the -- my starting point was  
9 really reading through the animal studies and the human  
10 studies of course. And what sort of emerged, again from  
11 my perspective, was really an effect on testosterone  
12 production. There's some conflicting data, but the -- in  
13 my mind, the weight of evidence led was in the direction  
14 of a decrease in testosterone production. And this was  
15 supported by the NTP 2019 and the Singh and Singh 2019 A  
16 and B.

17           And again, you know, the human studies, there was  
18 a little bit more inconsistency, but I thought that  
19 overall the data there was perhaps more compelling. And  
20 this is supported at the molecular level by several  
21 molecular outcomes that were mentioned. So there's a  
22 decrease in production of StAR, of TSPO, of CYP11A, of  
23 CYP17 and 17-beta hydroxysteroid dehydrogenase, HSD, that  
24 are well known to be involved in the steroid -- I'm going  
25 to stumble on that word -- in the production of steroids

1 and steroids hormones.

2           So there's an alignment of, you know, the  
3 decrease in outcome with the enzymes that are necessary  
4 for the production, including -- so what's interesting  
5 about StAR, for example, is that it's very early in the  
6 steroidogenesis pathway. And so, you know, you would  
7 expect a pretty -- really profound effect on steroid  
8 hormone production.

9           So now -- this is not necessarily getting to a  
10 molecular initiating event. I think that was the part  
11 that was a little bit frustrating about reviewing actually  
12 both chemicals is that we don't really know biochemically  
13 how PFNA could be causing a lot of these things. And  
14 perhaps this is, you know, often happening in  
15 toxicological studies.

16           So for this I actually turned to, as I usually do  
17 in those meetings, I turn to the ToxCast data and really  
18 try to parse out the plethora of different sort of  
19 molecular tests and assays that would run PFNA, which ones  
20 really sort of seem to rise to the top and could be  
21 explaining these -- these molecular events, such as  
22 decrease in testosterone production.

23           And really the one that's happening at the lowest  
24 level that was tested in the nanomolar range is an effect  
25 on the farnesoid-x-receptor, FXR, which is known to

1 respond to bile acids, but there's an increased connection  
2 between bile acids and steroid hormone production. And  
3 this was beautifully reviewed in a paper published Garcia  
4 et al. in 2019. And through molecular analysis with  
5 knockouts, we actually know that actually both elevated  
6 levels of FXR, but in the K -- in the knockout, you know,  
7 elevation of FXR, we know that this is a dramatic impact  
8 on sperm production and on sperm quality as well.

9           So it seems that PFNA acts as an antagonist of  
10 FXR, so it would align with what we know from the  
11 knockout, and that it's AC50 is actually relatively lower  
12 within the human range of 41 nanomolar. So I thought that  
13 this was to me sort of encouraging in terms of potential  
14 molecular initiating events.

15           And it's also important to note that, as was  
16 noted in the hazard identification document, that PFNA  
17 also seemed to bind the estrogen receptor, at least alpha,  
18 although at higher level than it binds the FXR receptor,  
19 but still within the nanomolar range. So we're in the  
20 high nanomolar. We are about 700 nanomolar for AC50 for  
21 the estrogen receptor alpha. But, you know, this is  
22 something that is a lot more different than any other  
23 receptors that PFNA seem to bind at a much higher level  
24 than that. So, for me, these things are potential of  
25 course molecular initiating events, but at least there's

1 biological plausibility behind the effects mentioned and  
2 detected in several studies on testosterone.

3           The thyroid hormone mechanism was to me less  
4 convincing, and honestly after reading through it I  
5 decided to not necessarily investigate that that much  
6 further, because again, I did not think that this was very  
7 convincing.

8           But I do want to sort of wrap up my rather brief  
9 comments by saying that I did find the tables for 441 and  
10 442, so the characteristics tables, on male reproductive  
11 toxicants and on endocrine disruption to be extremely  
12 helpful, in sort of, you know, having a good platform to  
13 then decide are we really talking about an endocrine  
14 disruptor, are we really talking about a male reproductive  
15 toxicant? And I think the -- it doesn't really  
16 necessarily resolve all the ambiguity, because you don't  
17 have to necessarily hit every single one of the key  
18 characteristics to be a reproductive toxicant, but what  
19 does it mean if you hit only one out of all the many ones  
20 that were mentioned, or two, or three, or four have -- you  
21 know, where do you land at the end, at least in your mind,  
22 in what is a reproductive toxicant or an endocrine  
23 disruptor.

24           Nonetheless, using this table and looking at the  
25 molecular endpoints that support some of the -- these key

1 characteristics, I ended up leaning towards PFNA, indeed  
2 having the biological mechanisms and the biological  
3 plausibility, to indeed be a male reproductive toxicant.

4 And I'll end here for my comments.

5 CHAIRPERSON LUDERER: Thank you very much, Dr.  
6 Allard.

7 And we'll then turn to Dr. Pessah to continue  
8 discussing the mechanistic studies. Dr. Pessah.

9 COMMITTEE MEMBER PESSAH: Thank you. I took a  
10 rather different approach. I thought about biological  
11 plausibility with regard to the face validity of in vitro  
12 and in vivo studies in particular the animal studies. And  
13 I appreciate Dr. Breton's comment that given the  
14 variability and oftentimes contradictory results from the  
15 epidemiological studies that the animal studies really do  
16 need to have -- if you are to interpret them as either  
17 supportive or not supportive of plausibility, that they  
18 need to have face validity and productive -- predictive  
19 value.

20 I just want to point out that if you do a  
21 calculation on the highest blood levels in the studies  
22 that have been done, serum levels, and I always think of  
23 concentrations, if you want to look at mechanisms, as  
24 molar concentrations. So I converted the nanograms per  
25 liter, the geometric means in the firefighters to a molar

1 concentration, and they are relatively low, about 1.5 to 2  
2 femtomolar, that's 10 to the minus 12.

3           Now, if we go to the NTP study, where, in fact, I  
4 thank Dr. Li for pointing out the table, Table 20, they  
5 actually do a good job of converting the milligrams per  
6 kilogram per day dosing to a molar concentration in  
7 plasma. And if you'll see at two and a half mg per kg per  
8 day, you're saturating the pharmacokinetics of PFNA. In  
9 other words, you're up around 800 micromolar plasma levels  
10 at two and half mg per kg per day, and you double that  
11 dose and you're pretty much saturated.

12           You have to realize that many of the results that  
13 have been presented here from animal studies, whether they  
14 be the sperm or blood outcomes require doses certainly of  
15 two and a half or above mg per kg per day. There is a  
16 relationship that the longer the exposure is, there's  
17 slight shift to the lower concentrations for the LOEL --  
18 or the minimal -- no sorry, NOEL.

19           So what does this mean? It means that all the  
20 animal and most of the in vitro studies, which were done  
21 in mid-micromolar to high micromolar in many cases up as  
22 high as 300 to 500 micromolar are orders of magnitude, six  
23 orders of magnitude higher than those observed in the  
24 highest exposed population.

25           What does that mean mechanistically? Many of the

1 targets that have been looked at, and Dr. Allard really  
2 did a great job at pinpointing two endpoints, two targets  
3 that seem to be influenced by nanomolar PNFA[SIC] --  
4 PNFA[SIC], and those may be sort of the benchmark sort of  
5 points of departure where you can say, well, here we're  
6 getting very close -- or at least closer, but you still  
7 have to realize we're at least three orders to four orders  
8 of magnitude above, higher, than what you find in the  
9 highest exposed population.

10           What does that mean in terms of receptor  
11 occupancy? Well, for the FXR and the ER receptors, these  
12 receptors, their ligands are in the subnanomolar. And so  
13 if you're talking about 10 nanomolar PNFA[SIC], which is  
14 again two to four orders of magnitude higher than what you  
15 find, there is no possibility that they can interact with  
16 those targets, given the difference in their affinity,  
17 even over long periods of time as these receptors are  
18 signaling with their endogenous molecule.

19           So I found that the animal studies and the  
20 biochemical studies at the very start lack face validity,  
21 because there's no uncertainty factor that you can put in  
22 that would give you six log units. So with that, I also  
23 looked at the quality of some of these studies, in  
24 particular Singh and Singh, and I found that there was no  
25 control for bias, in other words, there was no blinding

1 for the histological evaluations. The histological  
2 evaluations were not quantitative, rather qualitative,  
3 although they did have some strengths. And those  
4 strengths were pointed out in terms of sampling and sample  
5 design.

6 I also want to point out that many of the  
7 outcomes in Singh and Singh and, for example, Feng et al.,  
8 they did not actually show dose response relationships.  
9 They either showed a threshold effect, where the lowest  
10 concentration had a maximal effect and the higher  
11 concentrations maintained that effect, so they didn't have  
12 a no effect. And if they did, it was also reduced. It  
13 wasn't zero. And in some of the outcomes, it was  
14 non-monotonic. In other words, the low concentrations  
15 produced a statistically significant effect, but as you  
16 escalated the dose, it actually disappeared.

17 And I sort of wonder why that might be. There  
18 was no real rationale or explanation for that. And the  
19 zebrafish tend to be more sensitive. But when you're  
20 working with such high concentrations, if you think about  
21 zebrafish in a pool of water, there's only one place that  
22 those aqueous doses, if in fact they are soluble, because  
23 there's some uncertainty whether the solubility in water  
24 can even be attained at the concentrations used, that they  
25 would accumulate in the zebrafish over the 90 days. So it

1 would have been really reassuring if they had measured the  
2 internal doses in the zebrafish and gave a bioaccumulation  
3 factor for their experiments.

4 So with that, I'm going to stop. Thank you.

5 CHAIRPERSON LUDERER: Thank you, Dr. Pessah.

6 We now have time for a little bit of Committee  
7 discussion. I know that we're scheduled to have a lunch  
8 break at 12:00, so I wanted to ask staff if -- can we go a  
9 little bit longer with the discussion now or do we want to  
10 hold it to five minutes and then break for lunch?

11 DIRECTOR ZEISE: No, you can break for lunch when  
12 you find a good breaking point. That's okay.

13 CHAIRPERSON LUDERER: Okay. Wonderful. Thank  
14 you.

15 So then I'm going to open up the -- to full  
16 Committee discussion. So please let -- again use the  
17 raise -- hand raising option to indicate that you wish to  
18 speak and see if any other Committee members have comments  
19 about these studies, epidemiological, animal, or  
20 mechanistic studies.

21 Let's see, Dr. Breton.

22 COMMITTEE MEMBER BRETON: Hi. I just had a  
23 question actually about what you sort of just alluded to,  
24 which was that there might -- that there wasn't dose  
25 response associations shown in some of the animal models,

1 but I -- I guess I had been given the impression that from  
2 the summary slide and also from Dr. Woodruff that there  
3 were evidence -- there was evidence of dose response in  
4 some of the animal studies. So I was just wondering if we  
5 might discuss that a little bit more.

6 COMMITTEE MEMBER PESSAH: Sure. I must have  
7 missed something, but I did go to Singh and Singh and Feng  
8 et al., and if you look at some of those measures, they  
9 really aren't dose response. They just -- you have an  
10 effect at the lowest level and those effects are  
11 maintained as you escalate the dose. And then in some  
12 measures, they disappear at the higher levels. And so  
13 they don't really account for that very unexpected  
14 concentration effect or dose effect relationship.

15 I know that some persistent organic pollutants do  
16 show a non-monotonic, but they always show a no effect  
17 level that's more of U shaped dose response. Here, I  
18 don't think that some of these measures had a U shaped  
19 dose response.

20 COMMITTEE MEMBER WOODRUFF: Yeah, I just want to  
21 comment on this. I made this comment about Das study. So  
22 the Das study -- and again, it's a little bit  
23 influenced -- its's somewhat influenced about how they  
24 analyze the data, right. In an epidemiological study,  
25 they take all the data and then they create a beta

1 estimate with all the data. That's not how a lot of these  
2 animal studies are evaluated. They look at each dose, and  
3 then they compare it to the control. So it's a little bit  
4 like a quartile -- you know, a quartile analysis or  
5 something like that.

6           So the Das study is a good example, where you saw  
7 this prenatal exposure, and then -- and the prepubertal  
8 separation that you can see in the graph is increasing.  
9 And when they analyzed all the data together, they did a  
10 benchmark dose model. And what that is is they analyzed  
11 the data. They create a dose response, and then they look  
12 at the exposure level that relates to a certain percent in  
13 decrement. So, for example, in this case, it would have  
14 been a five percent decrement. In the -- just the  
15 length -- the time to separation. So what that means is  
16 that often when you're looking at the animal studies,  
17 there's a lot of reasons that they appear let -- they may  
18 not -- it could be that there's less sensitive measures of  
19 the relationship, and therefore you don't see a response.

20           So I just want to address this NOEL issue,  
21 because this has come up a lot in the toxicological  
22 literature. That response -- that means the no observed  
23 effect level. And we've done an analysis of the data --  
24 the dose response data from animal studies and comparing  
25 it to the no observed effect level. The no observed

1 effect level is highly driven by methodological design,  
2 because they basically take the control and then each of  
3 the exposures, and then they say, oh, well, this one is  
4 not statistically significant, but there could still be an  
5 elevated effect. That must be a no observed effect level.

6 That does not mean there is not an effect at that  
7 exposure. In an analysis, we did a benchmark dose that  
8 EPA used for reference dose has found that NOAEL, which is  
9 typically in the range of one to 10 percent response, and  
10 it's because it's very difficult, given that there's not  
11 very many animals per dose, to see responses in that lower  
12 end of the dose response range.

13 So I think we have to analyze -- this is why I  
14 feel like it would be super helpful if OEHHA would take  
15 the data from the animal studies and put it into HAWC, so  
16 we could look at the dose response levels together. It's  
17 quite -- it's quite standardized now within that process.  
18 And I think that would help us see -- like you can in  
19 these epi studies, like this Lopez -- this Lopez study,  
20 you can see quite well what's going on at the different  
21 dose levels and the responses. So that's what I want to  
22 say about that.

23 CHAIRPERSON LUDERER: Do we have any other  
24 comments from other panel members?

25 COMMITTEE MEMBER PESSAH: But, Dr. Woodruff, I

1 think you mentioned a benchmark dose of 1.3 mg per kg per  
2 day as one outcome.

3 COMMITTEE MEMBER WOODRUFF: Yeah, it's in this --  
4 yeah, that's right.

5 COMMITTEE MEMBER PESSAH: And that would  
6 correspond to about a 350 micromolar plasma level.

7 COMMITTEE MEMBER WOODRUFF: Okay. But we're not  
8 suppose to consider what the exposures are in the human --  
9 in the California population when deciding the hazard,  
10 right?

11 COMMITTEE MEMBER PESSAH: No. No. I understand  
12 that, but I think 350 micromolar to observe a benchmark  
13 dose in an animal study is unrealistic and probably does  
14 not have face validity, which weakens its usefulness for  
15 prediction.

16 COMMITTEE MEMBER WOODRUFF: I guess I don't  
17 quite -- face validity. I mean, I just want to just  
18 also -- I mean, the levels at which we're seeing reference  
19 doses now being set for these perfluorinated chemicals are  
20 quite low, so I know OEHHA said for PFOA set an acceptable  
21 daily dose of -- what am I -- I did this, because I was  
22 interested in this -- it was like 0.45 nanograms per  
23 kilogram day.

24 You know what, EPA just came out with a reference  
25 dose for PFOA based on a systematic review of the PFOA

1 evidence. That's 1.5 times 10 to the minus 9 milligrams  
2 per kilogram day. I mean, that's -- you know, basically,  
3 they're saying that there is levels, very, very low, at  
4 which we're seeing potential health effects from these  
5 exposures. So I guess, I'm not -- and that's based on  
6 animal studies and human studies that are at higher  
7 levels. Also, the human studies are at relevant exposure  
8 levels. So I think we have to look at the human data in  
9 conjunction with the animal data, in conjunction with the  
10 mechanistic data. So that's what I feel is important.

11 CHAIRPERSON LUDERER: And I think another thing  
12 that I wanted to again add too, which I think several of  
13 the panelists brought up, was that the -- there -- the  
14 dosing intervals were relatively short in these studies,  
15 but there did appear to potentially -- that it looked as  
16 though with longer dosing, there -- that there was a  
17 tendency maybe for more -- greater effect. Obviously,  
18 that was with different studies.

19 COMMITTEE MEMBER WOODRUFF: Right. Right.

20 CHAIRPERSON LUDERER: And obviously in humans, I  
21 mean these were very persistent chemical -- this is within  
22 the body. It has a long half-life and measured in, I  
23 think it was, years we heard. So that, you know, we see  
24 bioaccumulation with these chemicals. So I think that's  
25 another thing to keep in mind. Do we have any other

1 comments, or questions, or is maybe everyone --

2 DR. SANDY: I see two hands up, Dr. Breton and  
3 Dr. Allard.

4 CHAIRPERSON LUDERER: Yes. Okay. Thank you. I  
5 had to circle back through the other page.

6 Dr. Allard.

7 COMMITTEE MEMBER ALLARD: Yeah. I just redid my  
8 calculations just to be extra clear. And we're spending a  
9 lot of time talking about dose when perhaps we shouldn't  
10 be. But I did sort of land on the human serum levels  
11 corresponding to the nanomolar range, which to me is  
12 interesting to consider, thinking that the in vitro  
13 endpoints that were measured are also performed at the  
14 nanomolar range.

15 So again going back to the question of biological  
16 plausibility and thinking about there could be.... But I  
17 did hear what Dr. Pessah said in terms of the endogenous  
18 ligands being able to bind at much lower levels. So  
19 that's something to consider, but at least we are talking  
20 about something where the human doses and some of the  
21 in-between points were generated using -- there's overlap.  
22 That's the bottom line.

23 COMMITTEE MEMBER WOODRUFF: Can I ask you a  
24 question about that. If you have multiple chemicals,  
25 though, in this low range all computing say, in this case,

1 for the receptor, wouldn't that then mean that you would  
2 have the effect of PFNA could be happening, you know,  
3 at -- even at the low level, but because there's other  
4 chemicals that we're all exposed to, that could influence  
5 the sensitivity?

6 COMMITTEE MEMBER ALLARD: I think it's possible,  
7 but we -- right, there's no competitive assays that were  
8 performed, and so we are -- we're sort of getting further  
9 and further away from -- you know, it's basically --

10 COMMITTEE MEMBER WOODRUFF: No, not here, but in  
11 other examples for another chemical and another receptor  
12 finding.

13 COMMITTEE MEMBER PESSAH: For PCBs, it's been  
14 demonstrated --

15 COMMITTEE MEMBER WOODRUFF: Right.

16 COMMITTEE MEMBER PESSAH: -- that there's  
17 additivity and sometimes more than additivity. So, yes,  
18 but that -- I don't think that was in our data set, you  
19 know, to consider others. And that goes back to Irva's  
20 point that exposures are more complex than just PFNA --  
21 PFNA, so...

22 CHAIRPERSON LUDERER: Dr. Breton.

23 COMMITTEE MEMBER BRETON: I just wanted to say  
24 one other thing that Trace -- that Dr. Woodruff brought  
25 up, which was that I forgot to mention when I was

1 summarizing the epi studies, which is that -- especially I  
2 think for the testosterone results, several of the studies  
3 that showed no association did, in fact, have  
4 non-significant trends in that direction. So coupled with  
5 the fact that generally the sample sizes are low and the  
6 PFAS levels -- or the PFNA levels are often on the lower  
7 end, or don't have a great dynamic range that we probably  
8 are butting up against statistical power issues for some  
9 of the studies.

10 I didn't do a post-hoc power analysis for any of  
11 then, so I can't tell you, you know, with certainty, but I  
12 would say -- I would be willing to bet that at least for  
13 some of them, that's also -- you know, it's unfortunate in  
14 that it's -- but it's playing a role in some of the  
15 studies that showed effects. Some of the -- I think some  
16 of the studies that were from China and Taiwan tended to  
17 have some of the higher PFNA levels too. So I think  
18 that's sort also sort of supporting this notion of this  
19 confluence of, you know, how high are the levels, what's  
20 the dynamic range of the levels, and what's your sample  
21 size.

22 And so -- so some of the non-significant trends,  
23 I do think lend some support. Obviously, it partly  
24 depends on where you sit on your interpretation of  
25 statistical significance a little bit, but I just wanted

1 to mention that.

2 CHAIRPERSON LUDERER: Thank you.

3 Dr. Hertz-Picciotto.

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. Again,  
5 I'm -- I think that the context of these exposures is  
6 really important. And the -- you know, I started out  
7 thinking, oh, the mechanistic data was really strong, and  
8 that that makes up for kind of the -- you know,  
9 particularly some of the in vitro studies in particular  
10 seemed very overwhelmingly -- you know, there's something  
11 going on here. And, of course, I was thinking well, I  
12 don't know how these doses are. And Dr. Pessah really did  
13 a great job of pointing out that they are really at  
14 astronomically high levels in some cases.

15 And then, you know, the animal studies, yeah, I  
16 feel like we -- when you put it all together, there's this  
17 feeling that there is something there. The epi has not  
18 been the strong enough studies, or the large enough  
19 studies, or the sensitive enough populations to  
20 necessarily pick it all up. But if we're only talking not  
21 about risk assessment here, but about hazard  
22 identification, does -- do -- does PFNA pose a hazard?  
23 And that means that at some level, it could be causing  
24 male reproductive toxicity.

25 And so I feel like that's the question to ask.

1 And I also want to just point out that the issue of  
2 interactions -- okay. I understand that the way it --  
3 when the Proposition was -- of 65 was passed, it was all  
4 about one-by-one chemicals, but we are -- we are in a  
5 phase in -- I mean, and even OEHHA has a lot of effort now  
6 on cumulative risk. And I think that we -- there are many  
7 situations where at fairly low exposure that itself -- all  
8 by itself would be unlikely to cause the outcomes that  
9 you're interested, in combination with other similar kinds  
10 of chemicals, or chemicals that may act on a different  
11 juncture in some sort of a progression of steps that leads  
12 to the final clinically observable outcome, can often  
13 be -- the effect can be amplified many fold by the context  
14 in which these exposures may occur.

15 And I'm saying that not just -- I mean, I started  
16 out earlier in the day talking about, well, other PFAs,  
17 but I'm thinking about other repro -- male reproductive  
18 toxins that are out in the environment as well that may  
19 operate through similar mechanisms, through other  
20 junctures on the same mechanistic pathway and so forth.

21 And that -- that this -- this situation that we  
22 live in, where the number of chemicals is in, you know,  
23 the tens of thousands on a daily basis, and that some of  
24 those are now reproductive toxins that maybe have shown  
25 more compelling data, I find it hard not to -- not to take

1 that mindset as we evaluate PFNA as an individual added  
2 compound in this mix.

3           It does not seem to be benign. That's -- that  
4 seems to me to be clear, but the definitiveness and how  
5 strong an impact in real-life situations is what does seem  
6 to be at question. So I think that's -- I think that's  
7 still fair enough and within our role here as members of  
8 this panel to put that in -- that context in place. And  
9 if I'm totally wrong and it is off-base for hazard  
10 identification, okay.

11           CHIEF COUNSEL MONAHAN CUMMINGS: Can I just  
12 really quick --

13           CHAIRPERSON LUDERER: Yes, Carol Monahan  
14 Cummings.

15           CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. I just  
16 wanted to say that Prop 65 is unique and perhaps odd in  
17 the way that it addresses these kinds of exposures,  
18 because it really does look like -- look at a single  
19 exposure to a single chemical and doesn't look at  
20 cumulative effects. And so I totally understand the  
21 scientific context you're talking about, but I don't think  
22 that you can consider that directly and say, you know,  
23 since everybody is exposed to lots of these chemicals,  
24 adding this one in could cause an effect. So I think you  
25 really need to look at this individual chemical and its

1 exposures and effects to the extent you can, based on the  
2 evidence you have.

3           Hopefully, that's helpful

4           CHAIRPERSON LUDERER: Any other comments?

5           I think that given that the dose -- that there  
6 are clear evidence of dose response for some of these  
7 endpoints, both, you know, testosterone and some of the  
8 other male reproductive endpoints, as Dr. Woodruff and  
9 others have noted, that -- and that we are to focus on  
10 evidence of effects on reproductive -- male reproductive  
11 endpoints, not necessarily taking into consideration the  
12 human concentrations, I think based on those data, we can  
13 say that there's clear evidence of male reproductive  
14 toxicity, and from multiple species, and in multiple  
15 studies. So I'm just curious what other -- if other panel  
16 members have different thoughts on that who haven't had a  
17 chance to talk yet?

18           Okay. Dr. Breton, did you want to say something  
19 else or is your hand up --

20           COMMITTEE MEMBER BRETON: Oh, I did actually. I  
21 was just going to sort of say that Dr. Allard had also  
22 mention when he was summarizing how their -- you know,  
23 these key characteristics and was sort of questioning how  
24 many of them one needs to actually check off to sort of  
25 have sufficient evidence. And I just wanted to comment

1 that like sort of my own interpretation when I was going  
2 through all of this was that -- and from a snippet from  
3 the epi literature was -- like I was under the -- under  
4 the impression that basically as long as you hit one, you  
5 know, you had sufficient evidence. So I was kind -- I  
6 kind of wanted to raise, because I was curious what other  
7 people thought --

8 COMMITTEE MEMBER WOODRUFF: Well, but --

9 COMMITTEE MEMBER BRETON: -- about that too, you  
10 know.

11 COMMITTEE MEMBER WOODRUFF: I see Patrick shaking  
12 his head, but --

13 COMMITTEE MEMBER BRETON: I know. I know. See  
14 so that's why I wanted to ask.

15 COMMITTEE MEMBER WOODRUFF: But I'm sorry, if you  
16 affect -- if you look at the -- look just look at how the  
17 NAS looked at -- did their systematic review of phthalates  
18 and male reproductive effects, they just looked -- they  
19 looked at fetal testosterone levels and anogenital  
20 distance, and that -- and that's just one -- right, that's  
21 one of these key character -- that's a key characteristic,  
22 and that's -- and that was -- that evidence was sufficient  
23 to say it was a presumed male reproductive toxicant, so --

24 COMMITTEE MEMBER BRETON: Right.

25 COMMITTEE MEMBER WOODRUFF: -- I feel like if you

1 affect testosterone levels --

2 COMMITTEE MEMBER BRETON: Yeah.

3 COMMITTEE MEMBER WOODRUFF: -- that's an upstream  
4 effect that's going to affect multiple downstream apical  
5 endpoints. Though I agree with you, it's interesting,  
6 because usually we'd be like, oh, we're just arguing about  
7 whether there's a particular mechanism of effect. But I  
8 agree that the key characteristics allow you to think  
9 about a constellation of mechanisms, which is true that  
10 probably chemicals can influence multiple pathways.

11 COMMITTEE MEMBER BRETON: So for my -- like for  
12 me, I was looking at even just with the epi literature and  
13 so even --

14 COMMITTEE MEMBER WOODRUFF: Right.

15 COMMITTEE MEMBER BRETON: -- the epi literature  
16 is -- you know, it's, you know, not as -- I think we've  
17 all said it's not as strong as we would have liked. I  
18 still would have concluded that, you know, it at least  
19 showed some evidence of the impaired sperm, and, you  
20 know --

21 COMMITTEE MEMBER WOODRUFF: Oh, right.

22 COMMITTEE MEMBER BRETON: -- and sperm from that  
23 perspective, and that that in and of itself was enough to  
24 actually meet the definition of male reproductive  
25 toxicity. So that's why I was kind of asking the question

1 about sort of how many of these things does one feel like  
2 you have to have to have sufficient evidence. And sort of  
3 posing that question, you know, to all -- to all of the  
4 members.

5 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto. I see  
6 your hand is raised.

7 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. And I  
8 agree with Carrie actually, you know. And in fact looking  
9 back at the Lopez-Espinosa paper, just looking at the  
10 graphs they showed, you can see there's -- there are some  
11 pretty strong trends around testosterone.

12 And another endpoint that I just want to ask,  
13 because I don't think I heard anybody else mention it and  
14 I wondered exactly what its connection was with male  
15 reproduct -- or reproduction, and that is insulin growth  
16 factor 1 that was steady in that -- in that, and in the  
17 same table next to the testosterone. So could someone  
18 explain to me what its relevance is for male reproductive  
19 toxicity? I thought it was -- it was very strongly -- and  
20 it was in boys and girls, which was kind of interesting  
21 too. Clearly associated with PFNA in that study.

22 CHAIRPERSON LUDERER: Dr. Allard, did you have  
23 your hand raised?

24 COMMITTEE MEMBER ALLARD: But that was not in  
25 response to that question.

1 CHAIRPERSON LUDERER: Okay.

2 COMMITTEE MEMBER ALLARD: That was --

3 (Laughter.)

4 CHAIRPERSON LUDERER: Okay.

5 COMMITTEE MEMBER ALLARD: -- to follow-up to Dr.  
6 Breton's. That's why I lowered my hand.

7 CHAIRPERSON LUDERER: Okay. I wasn't sure.

8 Anyone want to comment on that?

9 COMMITTEE MEMBER WOODRUFF: Well, I think they  
10 said in the paper it was related to that, but I -- it's  
11 true. It wasn't in the pathway present nor am I not that  
12 familiar with it either, so maybe OEHHA can comment on it.

13 CHAIRPERSON LUDERER: That's what I was just  
14 going to ask.

15 (Laughter.)

16 COMMITTEE MEMBER WOODRUFF: I'm sorry. Don't  
17 want to take away your power as the Chair.

18 CHAIRPERSON LUDERER: No, no, no, that's --  
19 thank you.

20 COMMITTEE MEMBER WOODRUFF: -- But I also had  
21 that same question. I was like, oh, that's really  
22 interesting.

23 COMMITTEE MEMBER HERTZ-PICCIOTTO: Oh, okay. So  
24 there's a paper -- I should have done a check myself.  
25 There's a paper, testosterone increases insulin like

1 growth factor 1 and insulin like growth factor binding  
2 protein. It's a 1995 paper in the Annals of Clinical and  
3 Laboratory Science. So I guess it's the other direction  
4 that it could have been via the testosterone increase in  
5 that study.

6 CHAIRPERSON LUDERER: Thank you.

7 Dr. Allard.

8 COMMITTEE MEMBER ALLARD: Yes. I'm re-raising my  
9 hand now.

10 (Laughter.)

11 COMMITTEE MEMBER ALLARD: Yeah. No, I agree with  
12 you, Dr. Breton, and comments that were made as well by  
13 Dr. Woodruff. It sort of depends on the endpoint, right?  
14 It seems like some endpoints are so incredibly clearly  
15 reproductive toxicant, if you had any of them that, then  
16 it's okay. But like under -- I think it was under the  
17 reproductive toxicant, DNA damage was mentioned. And for  
18 me, it depends where the DNA damage is caused, right?

19 It's also that when you look at the data, some of  
20 it is stronger, some of it is a little bit weaker. And  
21 so, you know, it's weighing the strong endpoints, the weak  
22 endpoints, where do they fit in that table, and that's  
23 where, for me, it becomes a bit murkier. So I feel more  
24 confident with this in overlap, at least that's the way  
25 that I personally use that table. When there's an overlap

1 of several endpoints and that at least, you know, it's not  
2 just one, but several of them. And some of them are  
3 stronger. And then that gives me more confidence, but I  
4 guess we can all use that table differently depending on  
5 how we go about it.

6 CHAIRPERSON LUDERER: Yeah. And I think that  
7 was -- I agree with that point that was just made about  
8 the it depends on which cell -- whether it's in cells or  
9 tissues of the male reproductive system when you're  
10 looking at the key characteristics. So I think that's an  
11 important point to keep in mind.

12 Dr. Kim, I think you had -- Allegra Kim, you had  
13 a comment about the IGF, I think, maybe.

14 DR. KIM: Yeah. I think that we -- if I -- you  
15 know, I'm just -- I'm a little fuzzy on this right now and  
16 apologize, but I think we talked about whether or not to  
17 highlight that and determine that it wasn't really closely  
18 enough related to male reproductive toxicity that we --  
19 that we didn't want -- so that we didn't want to highlight  
20 it, that it's more of a developmental issue, but we didn't  
21 really discuss it for that reason.

22 COMMITTEE MEMBER WOODRUFF: Can I ask you a  
23 question, because I'm just looking at the document now and  
24 it talks about it for the animal studies insulin-like  
25 growth factor hormone receptor 1, hormone receptor 2 in

1 the mice studies and in the -- so is it different hormones  
2 or --

3 DR. SANDY: So this is Martha Sandy. I think Dr.  
4 Ling-Hong Li may have something he wishes to add.

5 DR. LI: Hi. Actually, I wanted to clarify a few  
6 issues on the NTP study not on insulin.

7 DR. SANDY: Okay. Well, Ling-Hong before you do  
8 that -- so I guess we'll say that, you know, there's  
9 always more for us to learn. In the role of the insulin  
10 growth factor and male reproductive toxicity, we're  
11 still -- we're still learning. So I guess we don't have  
12 any answers for you. I apologize. I don't know if you'd  
13 wish to hear just a little perspective on the NTP study  
14 from Dr. Li or not at this time.

15 CHAIRPERSON LUDERER: Sure, Dr. Li, would you  
16 like to go ahead?

17 DR. LI: Yeah, I wanted to clarify a few issues  
18 regarding the NTP study. The NTP study actually is really  
19 a stand-out NTP high quality study. That study included  
20 five doses, 0.625 to 10 milligram per day. And it only  
21 measured 13 parameters at three lower doses 0.625, 1.25,  
22 2.5. At the lowest dose, there was no effect on body  
23 weight. It was already significant effect on epididymal  
24 weight.

25 If you look at several endpoints, including

1 histopathology incidence, most parameters are dose  
2 dependent. I want to point that out.

3           Secondly, in male reproductive toxicity testing,  
4 organ weight and histopathology in many cases are the most  
5 sensitive parameter for male reproductive toxicity. In  
6 testes weight, usually people use - as well indicated in  
7 the guidelines, EPA guidelines - absolute testicular  
8 weight has always been used not as a relative. The same  
9 with the epididymal weight. If you look at the NTP data,  
10 I think the effect is clear. Certainly about the  
11 treatment duration, NTP study treated animals 28 days.  
12 That's about half of one full cycle of spermatogenesis in  
13 rodents. If you expose the animals longer than that, so  
14 what would you expect?

15           Normally, you would expect effective dose --  
16 effects at lower doses. And actually, the study by Singh  
17 et al. the non-NTP study supported that, you know,  
18 postulation. That study exposed the animal for 90 days,  
19 then you observed the effect at 0.5 milligram per kilogram  
20 per day.

21           And so those are things I wanted to point out for  
22 you to consider.

23           Thank you.

24           CHAIRPERSON LUDERER: Thank you. I know Dr.  
25 Pessah you had your hand raised for a while.

1           COMMITTEE MEMBER PESSAH: That's okay. So just  
2 to follow up on Dr. Li. I do agree with what you're  
3 saying, but there are dose response or concentration  
4 effect relationships, and then there are very weak ones or  
5 ones that require you to use your imagination. And I want  
6 to point out that at 2.5 mg per kg per day, you're  
7 literally saturating all PK parameters. You're at  
8 saturation. At 5 mg per kg per day, you're not escalating  
9 the internal concentration. You're saturated.

10           At the lowest dose, you're having only a few  
11 biological outcomes and so really you have one data point  
12 to fit the dose response extrapolation. That to me is  
13 weak at best and you can't build an adverse outcome  
14 pathway when the doses required in an animal study are  
15 completely orders of magnitude off from human exposure.

16           We try to correct for this with uncertainty  
17 factor, but just take a look at the math. The numbers are  
18 six orders of magnitude above. So let me ask you a  
19 different question. What if you were to pick five  
20 outcomes at random, at random, and put them in this dose  
21 escalation study that the NPT[SIC] did and what's the  
22 probability that because of nonspecific effects, those  
23 five parameters would show you the same trends.

24           In toxicology, really the concentration and the  
25 dose do make the poison. And here, you're escalating a

1 dose in the saturation range where the body can't even  
2 deal with it. It's saturating all the PK values, you  
3 know, processes, I should say. So that's where I'm coming  
4 this, in terms of how strong the animal data is to support  
5 what I feel is, yes, suggestive data in the  
6 epidemiological study.

7 If that's enough, then I'm on board, but you  
8 can't say that these animal studies have predicted value.  
9 I'm sorry.

10 DR. LI: I have no comment. Thank you.

11 CHAIRPERSON LUDERER: All right. Any additional  
12 comments from the panel members?

13 Okay. I'm not seeing any raised hands and we're  
14 almost at 12:30, so I will let us take a lunch break. And  
15 I'll ask Carol Monahan-Cummings to give a Bagley-Keene  
16 open meeting law reminder and then also ask the staff how  
17 long we should take for our lunch?

18 CHIEF COUNSEL MONAHAN CUMMINGS: This is Carol.

19 DR. MARDER: I just wanted to say, sorry, quickly  
20 that Dr. Pessah's hand is still raised, Dr. Luderer.

21 CHAIRPERSON LUDERER: Oh, I was assuming that --  
22 okay. Thank you.

23 DR. SANDY: This is Martha Sandy. In terms of  
24 lunch break is -- would 40 minutes be the right amount of  
25 time you think?

1 CHAIRPERSON LUDERER: That would be fine. Do we  
2 see any objections from anyone?

3 Okay, 40 minutes. So that would be at 10 after  
4 1:00.

5 Carol Monahan Cummings.

6 CHIEF COUNSEL MONAHAN CUMMINGS: Right. There's  
7 just -- I just want to remind you what I said earlier  
8 about not discussing these issues outside of the meeting,  
9 and that includes phone calls, texts, chats, all of that.  
10 So maybe just chat about something else during lunch.

11 Thank you.

12 CHAIRPERSON LUDERER: Okay. Thank you, everyone.  
13 And enjoy your lunch and we'll see you back at 10 after  
14 1:00. Bye-bye.

15 (Thereupon a lunch break was taken.)  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25



1 DR. MARDER: Sure. Just mentioning that we  
2 actually had a two alarm fire at the local power  
3 substation just a few blocks from here in downtown  
4 Sacramento. You may hear some sirens still behind me --

5 CHAIRPERSON LUDERER: At the CalEPA headquarters.

6 DR. MARDER: -- at the CalEPA headquarters.

7 CHAIRPERSON LUDERER: I think we can maybe go  
8 ahead and get started, even though -- I'm not sure. I  
9 don't think Dr. Hertz-Picciotto is back yet.

10 CHIEF COUNSEL MONAHAN CUMMINGS: I think it would  
11 be best to wait for her.

12 **PUBLIC COMMENTS**

13 CHAIRPERSON LUDERER: So our next agenda item is  
14 public comments on the PFNA. That will be limited to five  
15 minutes per speaker. And, Dr. Marder, can you maybe start  
16 showing the public comment housekeeping slide that shows  
17 the URL to the speaker request form. And as a reminder, I  
18 just wanted to let people know that if you would like to  
19 make a public comment, please go to the URL shown on the  
20 slide on the screen right now and fill out a speaker  
21 request card.

22 Alternatively, you can also click on the Zoom  
23 webinar raised hand icon to indicate that you would like  
24 to speak. And just wanted to ask Julian if we have  
25 received any speaker request cards.

1 MR. LEICHTY: We have not received any.

2 CHAIRPERSON LUDERER: Do we have any speaker  
3 request cards, Julian?

4 MR. LEICHTY: No, we have not. Can you hear me?

5 DR. MARDER: We can hear you.

6 CHAIRPERSON LUDERER: It looks like you're  
7 unmuted, but we can't hear you.

8 DR. MARDER: Julian did mention that there were  
9 no cards.

10 COMMITTEE MEMBER AUYEUNG-KIM: It sounds like it  
11 might be Dr. Luderer is having technical issues with  
12 hearing, because I could hear the --

13 CHAIRPERSON LUDERER: If there are no speaker  
14 request cards, then Elizabeth, are there any raised hands?

15 DR. MARDER: There are no raised hands at this  
16 time.

17 CHAIRPERSON LUDERER: Oh, I cannot -- I see in  
18 the chat that you're asking if I can hear you and I  
19 cannot.

20 All right. Headphones that might be better.  
21 Okay. Do we have any public comments?

22 DR. MARDER: There are no speaker cards and  
23 currently no hands are raised, Dr. Luderer.

24 CHAIRPERSON LUDERER: Okay. So we have no  
25 comments at all at the moment.

1           Okay. So I've just -- since we've checked  
2 several times for public comments, then I guess I think we  
3 can close the public comment period and we can move on to  
4 our committee discussion and the decision on PFNA and its  
5 salts.

6                           **COMMITTEE DISCUSSION AND DECISION**

7           CHAIRPERSON LUDERER: Do any of the Committee  
8 members -- would any of the Committee members like to  
9 comment before the vote?

10           Okay. I don't see any raised hands. All right.  
11 Then will -- is everyone ready to vote? Okay. I see  
12 nodding heads, thumbs up. All right. So then I'm going  
13 to read the question before the Committee, that is, has  
14 perfluorononanoic acid, PFNA, and its salts been clearly  
15 shown through scientifically valid testing, according to  
16 generally accepted principles, to cause male reproductive  
17 toxicity?

18           And now I'm going to call each of your names and  
19 ask you to vote yes, no, or abstain on this question. And  
20 I'll go in alphabetical order.

21           So Dr. Allard?

22           COMMITTEE MEMBER ALLARD: Yes.

23           CHAIRPERSON LUDERER: Dr. Auyeung-Kim?

24           COMMITTEE MEMBER AUYEUNG-KIM: No.

25           CHAIRPERSON LUDERER: Okay. Dr. Breton?

1 COMMITTEE MEMBER BRETON: Yes.

2 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto?

3 I think you're muted still.

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes.

5 CHAIRPERSON LUDERER: Thank you.

6 And Dr. Luderer, I also vote yes.

7 Dr. Nazmi?

8 COMMITTEE MEMBER NAZMI: Yes.

9 CHAIRPERSON LUDERER: Dr. Pessah?

10 COMMITTEE MEMBER PESSAH: No.

11 CHAIRPERSON LUDERER: Dr. Woodruff?

12 COMMITTEE MEMBER WOODRUFF: Yes.

13 CHAIRPERSON LUDERER: All right. So I have  
14 counted two no votes and the remaining votes are yes, so  
15 that's six yes votes by my count. Anybody got a different  
16 count in the staff members who were also keeping track, I  
17 assume?

18 DIRECTOR ZEISE: We count six as well.

19 CHAIRPERSON LUDERER: Okay. All right. So six  
20 or more yes votes are required to add a chemical to the  
21 list, and six is -- six is -- because six is the majority  
22 of appointed members. So the result then is yes to add  
23 PFNA. The panel votes as a majority to add PFNA to this  
24 list.

25 **CONSIDERATION OF PERFLUORODECANOIC ACID (PFDA) AND ITS**

1 **SALTS AS KNOWN TO THE STATE TO CAUSE REPRODUCTIVE TOXICITY**  
2 **(BASED ON MALE REPRODUCTIVE TOXICITY)**

3 CHAIRPERSON LUDERER: Okay. The next item is the  
4 consideration of PFDA, perfluorodecanoic acid and its  
5 salts as known to the State to cause reproductive toxicity  
6 based on male reproductive toxicity again. So I would  
7 like to turn the floor over to Deputy Director for  
8 Scientific Programs Vince Cogliano to begin.

9 (Thereupon a slide presentation.)

10 DR. COGLIANO: Thank you very much, Dr. Luderer.  
11 Once again, to assist you, OEHHA summarized the scientific  
12 evidence that you will consider for PFDA. So I'll turn  
13 the screen over to Dr. Martha Sandy to introduce the staff  
14 presentation.

15 DR. SANDY: Thank you, Dr. Cogliano.

16 So perfluorodecanoic acid, or PFDA, was brought  
17 to the DARTIC for consultation and prioritization last  
18 year in 2020, and this Committee recommended that it be  
19 placed in the high priority group for future listing  
20 consideration. And OEHHA selected PFDA and its salts for  
21 consideration for listing. And in March of 2021, OEHHA  
22 solicited from the public information relevant to the  
23 assessment of developmental and reproductive toxicity. No  
24 information was received on PFDA and its salts.

25 OEHHA has focused its current review of PFDA and

1 its salts on evidence of male reproductive toxicity. As I  
2 mentioned earlier, this information is summarized in the  
3 hazard identification document released in October of  
4 2021. The hazard identification materials on PFDA and its  
5 salts provided to the DARTIC for your consideration  
6 include the hazard identification document, the references  
7 cited within it, and public comments received on the  
8 document.

9 We will take a break part way through the staff  
10 presentation to provide the Committee an opportunity to  
11 ask questions of clarification, just as we did with the  
12 previous item. And I will now ask Dr. Pancho Moran to  
13 begin the staff presentation.

14 Thank you.

15 DR. MORAN: Well, again, thank you, Dr. Sandy.

16 Let's see. Okay. Can you see the slide?

17 Yes.

18 So good afternoon. Now, as the introduction  
19 said, we are presenting a brief overview of the evidence  
20 on the male reproductive toxicity of PFDA and its salts.

21 NEXT SLIDE

22 DR. MORAN: And here is the outline on the  
23 presentation on PFDA. It is similar in structure to our  
24 earlier presentation on PFNA.

25 NEXT SLIDE

1 DR. MORAN: PFDA is a perfluorinated organic  
2 compound with surfactant properties that belongs to a  
3 group of chemicals that are collectively called PFASs.  
4 The chemical structure of PFDA is shown on this slide.  
5 PFDA has a fully fluorinated ten-carbon chain.

6 NEXT SLIDE

7 DR. MORAN: PFASs, including PFDA, has been used  
8 to make products resistant to stains, grease, soil and  
9 water. PFDA has been found in cosmetic products. No data  
10 were available on production of PFDA or on emissions.

11 PFDA is a global pollutant of air, water, soil,  
12 and wildlife, and is persistent in the environment. Level  
13 of PFDA in California has been documented in several  
14 studies conducted between 2010 and 2019 by Biomonitoring  
15 California with high detection frequencies.

16 NEXT SLIDE

17 DR. MORAN: Similar to what was presented for  
18 PFNA earlier today, OEHHA conducted literature searches on  
19 the developmental and reproductive toxicity of PFDA and  
20 its salts. We used HAWC as a tool for multi-level  
21 screening of literature search results. Then we focus on  
22 literature relevant to male reproductive toxicity.

23 NEXT SLIDE

24 DR. MORAN: This is a summary of the screening of  
25 the DART literature for PFDA. The studies identified as

1 providing general information on PFDA are shown here in  
2 the blue box and the studies relevant to male reproductive  
3 toxicity are highlighted in the red boxes.

4 NEXT SLIDE

5 DR. MORAN: PFDA is well absorbed, binds to serum  
6 proteins and is widely distributed throughout the body.  
7 In humans, PFDA is found primary in brain with lower  
8 levels in lungs and kidney. PFDA is also detected in  
9 semen, cord serum, fetal tissues, and breast milk. PFDA  
10 is not known to be metabolized in animals or humans and  
11 the excretion is mainly through urine and feces with small  
12 amounts are found in nails and hair. The half-life for  
13 PFDA ranges from several years in humans to a few months  
14 in rodents.

15 NEXT SLIDE

16 DR. MORAN: Now, Dr. Ling-Hong Li will present  
17 data on animal studies.

18 NEXT SLIDE

19 DR. LI: Thank you, Pancho. I will present an  
20 overview of the data available from whole animal studies  
21 on PFDA.

22 NEXT SLIDE

23 DR. LI: This slide lists the studies of PFDA  
24 relevant to male reproductive toxicity found through our  
25 literature search. There are studies in rats, mice,

1 hamsters, guinea pigs, and zebrafish. The NTP study is  
2 part of a set of 28-day gavage study on seven different  
3 PFAS chemicals.

4 In the case of PFDA, adult SD rats received  
5 FDA -- PFDA by daily gavage at five doses ranging from  
6 0.156 to 2.5 milligram per kilogram, as shown here in the  
7 first row of the table. All other studies shown in this  
8 table, which were in rats, mice, hamsters, and guinea  
9 pigs, used a single IP injection of PFDA, and these doses  
10 were considerably higher than the daily doses administered  
11 in the NTP study. In these single-dose studies, the  
12 animals were sacrificed at varying days after PFDA  
13 injection, as shown on the last column in the table.

14 Next.

15 NEXT SLIDE

16 DR. LI: Reproductive organ weights were measured  
17 in several studies. In the NTP study in rats,  
18 reproductive organ weights were reported for the control  
19 and three highest dose groups. Epididymal weight was  
20 reduced in a dose-dependent manner with statistically  
21 significant reductions at the two highest doses. Also, in  
22 the NTP study, testes weight was significantly reduced at  
23 the highest dose. Reduced testes weight was also observed  
24 in rats treated with a single IP injection of 50 milligram  
25 per kilogram PFDA in the study by Olson and Andersen, and

1 at the highest dose in a study by Bookstaff et al. In the  
2 study by Bookstaff et al., reduced weights of seminal  
3 vesicles and ventral prostate were also found in rats in  
4 all three dose groups, 7 days after PFDA injection.

5 Next.

6 NEXT SLIDE

7 DR. LI: In the studies in mice, hamster, and  
8 guinea pigs, the authors stated the testes weights were  
9 reduced, but the paper did not report the actual data on  
10 testes weights.

11 Next.

12 NEXT SLIDE

13 DR. LI: Histopathological evaluation in studies  
14 of rats, mice, hamsters, and guinea pigs were found.

15 In the NTP study in adult rats, PFDA caused  
16 histopathological changes similar to those induced by  
17 PFNA, including interstitial cell atrophy, spermatid  
18 retention, germ cell degeneration, and epididymal lesions.  
19 The NTP report did not include any pictures to show  
20 PFDA-induced histological changes in the testes or  
21 epididymis. As shown in this table, the incidence of  
22 interstitial cell atrophy was significantly increased at  
23 the two highest dose levels. Increased incidence of  
24 spermatid retention was also significantly increased at  
25 the highest dose. Four out of 10 animals in the highest

1 dose group had germ cell degeneration and epididymal  
2 lesions, but the increase did not reach statistical  
3 significance.

4           Germ cell degeneration was also observed in rats  
5 in the study by George and Anderson, 16 days after a  
6 single IP injection. The rat study by Bookstaff et al.,  
7 which examined the testes 7 days after a single IP  
8 injection, did not report any changes in the testes, but  
9 they did observe epithelial atrophy of the seminal  
10 vesicles in the high dose group, epithelial atrophy of the  
11 ventral prostate in the mid and high dose groups.

12           Next.

13           Germ cell degeneration was also reported in  
14 hamsters 16 days after a single IP injection and in guinea  
15 pigs 14 days following a single IP injection. No  
16 testicular changes were reported in mice 28 days after a  
17 single dose. Photographs of histopathological changes in  
18 the testes of hamsters were included in the study report.  
19 As shown on this slide, the left panel shows  
20 cross-sections of seminiferous tubules with multiple  
21 layers of germ cells. The right panel shows seminiferous  
22 tubules from hamsters received a single IP injection of  
23 PFDA. You can see PFDA treatment caused diminished layers  
24 of germ cells in the testes.

25           Next.



1 about to say that.

2 DR. MORAN: Good.

3 (Laughter.)

4 CHAIRPERSON LUDERER: So now, I will ask the  
5 Committee members if they have any clarifying questions  
6 regarding those two presentations? So again, you can  
7 raise your hands on camera or using the raise hand. I see  
8 Dr. Woodruff.

9 COMMITTEE MEMBER WOODRUFF: Thanks. I was just  
10 interested in the -- you mentioned the structural  
11 similarity to PFNA and if you had looked at any in silico  
12 studies that compares the two chemicals?

13 DR. LI: Pancho, do you want -- do you want me to  
14 answer or you wanted to --

15 DR. MORAN: I didn't look for that precisely, but  
16 we do have some in silico data that -- regarding the  
17 interaction with hormone receptor. It will come later in  
18 the mechanistic section, but I think --

19 COMMITTEE MEMBER WOODRUFF: Oh, okay. That's  
20 fine. That's fine. Thanks.

21 DR. MORAN: Yes. Okay.

22 CHAIRPERSON LUDERER: Thank you.

23 I'm not seeing any other raised hands from the  
24 panel members, so I think we can move on to the next staff  
25 presentation.





1 testosterone. No association was observed in another  
2 study. No consistent associations were seen with PFDA and  
3 other reproductive hormones or related proteins.

4 Next slide.

5 NEXT SLIDE

6 DR. KIM: The study with the highest PFDA  
7 concentrations and variability reported a substantial and  
8 dose-dependent reduction in sperm concentration in the  
9 second and third tertiles, with a 24 percent reduction in  
10 the third tertile. A non-statistically significant  
11 reduction in sperm count was observed in the third  
12 tertile. This study also reported a non-significant  
13 decrease in the percentage of sperm with normal morphology  
14 in the second and third tertiles.

15 Another study reported an increase in the  
16 percentage of morphologically normal sperm.

17 Next slide.

18 NEXT SLIDE

19 DR. KIM: The largest study that looked at sperm  
20 motility, by Pan et al., reported decreases in the percent  
21 of progressively motile sperm, and in straight line  
22 velocity. A non-statistically significant reduction in  
23 progressive motility was also -- was observed in another  
24 study as well.

25 Sperm DNA integrity was examined in two studies.

1 In the study by Pan et al., in which infertile men were  
2 overrepresented, both serum and semen PFDA were associated  
3 with increases in percentage of sperm with high DNA  
4 stainability. Semen PFDA was also associated with an  
5 increase in the DNA fragmentation index. Another study,  
6 by Louis et al., reported no associations with these  
7 measures of sperm DNA integrity. In the one study to  
8 examine IVF outcomes, no associations with adverse effects  
9 were observed.

10 I will now hand over the presentation to Dr.  
11 Moran.

12 NEXT SLIDE

13 DR. MORAN: Okay. Thank you, Allegra.

14 So in this section, we will be presenting an  
15 overview of the mechanistic evidence on the effect of PFDA  
16 on the Hypothalamus-pituitary-gonadal axis, and the  
17 thyroid hormone.

18 NEXT SLIDE

19 DR. MORAN: So effects on reproductive hormones  
20 in humans and whole animals were presented earlier.  
21 Regarding other endocrines effects observed in vivo, we  
22 have the PFDA upregulated transcription levels of the  
23 aromatase CYP19A gene in the gonads of male zebrafish.

24 In vitro studies on PFDA exposure reported a  
25 decrease in aromatase activity observed in human placental

1 choriocarcinoma cell line, and in studies in isolated rat  
2 Leydig cells exposed in vitro, PFDA inhibited  
3 hCG-stimulated testosterone secretion.

4 NEXT SLIDE

5 DR. MORAN: In MA-10 cells, there was a  
6 concentration- and time-dependent decrease in  
7 hCG-stimulated progesterone, and decrease in pregnenolone  
8 secretion in the absence of cytotoxicity, also a decrease  
9 in messenger and protein levels of the mitochondrial  
10 translocator protein, TSPO, was reported.

11 PFDA had no effect on steroidogenic acute  
12 regulatory protein, StAR, levels, P450 side-chain cleavage  
13 activity or mitochondrial integrity.

14 In another mouse Leydig tumor cell line  
15 progesterone production was decreased in a  
16 concentration-dependent manner, and these effects were  
17 seen at lower doses than those that reduced mitochondrial  
18 membrane potential. In a human adrenocortical carcinoma  
19 cell line, PFDA had no effect on estradiol, or  
20 testosterone level, or on the estradiol/testosterone  
21 ratio.

22 NEXT SLIDE

23 DR. MORAN: In the next three -- in the next  
24 three slides, I will summarize PFDA's effects on the  
25 expression, binding and/or activity of HPG related hormone

1 receptors.

2           There was an increased expression of estrogen  
3 receptor alpha and beta in brain of male Zebrafish. PFDA  
4 induced a concentration-dependent increase in plasma  
5 vitellogenin levels in male rainbow trout.

6           In vitro PFDA induced human estrogen receptor  
7 alpha gene reporter activity by up to two and a half-fold  
8 in a human embryonic kidney cell line, and in rainbow  
9 trout liver cytosols, PFDA has shown to competitively bind  
10 weakly to estrogen receptor alpha.

11                           NEXT SLIDE

12           DR. MORAN: In a human breast adenocarcinoma cell  
13 line, PFDA had no effect on estrogen receptor  
14 transactivation. In a different study in MVLN cells, PFDA  
15 was found to inhibit the estrogenic response to estradiol  
16 in a concentration-dependent manner. In another human  
17 breast adenocarcinoma cell line, MCF-7 cells, co-treatment  
18 with estradiol and PFDA resulted in downregulated  
19 expression of two estrogen receptor responsive genes.

20                           NEXT SLIDE

21           DR. MORAN: In a Chinese hamster ovary cell line,  
22 PFDA had no androgen receptor agonist activity, but PFDA  
23 did exhibit concentration-dependent antagonistic effect on  
24 dihydrotestosterone-induced androgen receptor  
25 transactivation.

1           In sil -- in silico studies determined -- were  
2 determined that PFDA is predicted to bind at the active  
3 site of human, mouse, and trout estrogen receptor alpha.  
4 Modelings also predicts that PFDA can bind to the surface  
5 of the estradiol activated form of the human estrogen  
6 receptor alpha.

7                               NEXT SLIDE

8           DR. MORAN: Now, Dr. Marlissa Campbell will  
9 summarize the data related to thyroid hormones.

10                              NEXT SLIDE

11           DR. CAMPBELL: In the NTP 28 drinking water rat  
12 study of PFDA, the lowest observed significant effect  
13 level for effects on outcomes of male reproductive  
14 toxicity was higher than the lowest observed significant  
15 effect level for thyroid outcomes, which in this case was  
16 free T4 levels.

17           Additional in vivo rat studies looked at thyroid  
18 outcomes following acute doses of PFDA, but did not also  
19 evaluate potential outcomes of male reproductive toxicity.

20           Overall, single doses were -- by the IP route  
21 were associated with reduced total, free plus bound, T3,  
22 T4, and rT3. The addition of supplemental T4 only  
23 partially restored total T4 in the animals that had also  
24 been given PFDA. A higher single doses of PFDA led to  
25 increased serum T3 and reduced T3 uptake by serum thyroid

1 binding proteins.

2           Across several studies in vitro studies, PFDA  
3 in -- decreased proliferation of T3-dependent rat  
4 pituitary GH3 cells with no increase in cytotoxicity.  
5 PFDA inhibited binding of labeled T4 to human TTR, and  
6 displaced labeled T4 from binding sites on rat serum  
7 albumin. An in silico molecular docking model found that  
8 PFDA fit into the binding pocket of TTR.

9           And again overall, these results tease possible  
10 mechanistic relationships between PFDA disruption of  
11 thyroid hormone function and a contribution to the  
12 observed male reproductive effects. And the available  
13 data, while consistent with such a relationship, could not  
14 establish a cause and effect relationship.

15           Now, back to Dr. Moran.

16           DR. MORAN: Thank you very much.

17                           NEXT SLIDE

18           DR. MORAN: So now in summary we have the effect  
19 of PFDA on the HPG axis includes:

20           Altering hormone levels, plus reduced plasma  
21 testosterone and dihydrotestosterone, and has no effects  
22 on LH levels in male rats; increased plasma ratios of  
23 estradiol/testosterone estradiol to 11-ketotestosterone in  
24 zebrafish; and decreased hCG-stimulated pregnenolone,  
25 progesterone in mouse Leydig tumor cells and testosterone

1 secretion in isolated rat Leydig cells.

2 PFDA reduces the levels of messenger and protein  
3 for mitochondrial translocator protein, TSPO, in vitro.

4 Induces upregulation of aromatase in male  
5 zebrafish gonads and brain and decreased aromatase  
6 activity in vitro in the human placental carcinoma cell  
7 line.

8 PFDA interacts with estrogen receptor in fish and  
9 in vitro in multiple human cells line, and with the  
10 androgen receptors in vitro.

11 PFDA affect gene expression of some hormone  
12 receptors, such as increased brain estrogen receptor alpha  
13 and beta in zebrafish.

14 PFDA interferes with thyroid hormone binding,  
15 serum levels, and function.

16 NEXT SLIDE

17 DR. MORAN: Now Dr. Niknam will present a summary  
18 of the key characteristics of male reproductive toxicants  
19 and endocrine-disrupting chemicals for PFNA.

20 NEXT SLIDE

21 DR. NIKNAM: Good afternoon.

22 The KCs shown here in bold are those for which  
23 there is applicable information from studies of PFDA and  
24 has already been presented by previous speakers.

25 Next slide, please.



1 DR. NIKNAM: If you would like to see how the  
2 animal data for PFDA compares to that of PFNA, here is a  
3 table for comparison. There are a number of similarities  
4 and findings on organ weights, histopath --  
5 histopathology, sperm parameters, and testosterone levels.

6 And this concludes our presentation on PFDA.

7 Thank you.

8 DR. MORAN: Thank you, Yassaman.

9 CHAIRPERSON LUDERER: Thank you. Thank you for  
10 the presentations. And now we have time for some more  
11 clarifying questions from Committee members. So again,  
12 please raise your hands to indicate that Dr. Breton.

13 COMMITTEE MEMBER BRETON: Sorry. Yes, I just had  
14 a question on that. I like that last table of  
15 comparisons, but I just wanted to clarify the -- in the  
16 animal experiments, they're different animal experiments  
17 that did PFNA versus PFDA, right, because like it -- when  
18 we get to the epi studies, like a lot of them are actually  
19 the same study that look at both chemicals, so -- but for  
20 the animals, because I just want a clarification that  
21 they're different, right?

22 DR. SANDY: Yes. They're completely different  
23 studies in the animals.

24 COMMITTEE MEMBER BRETON: Completely different  
25 studies and study designs.

1 COMMITTEE MEMBER WOODRUFF: Well, no, but  
2 aren't -- isn't the NTP studies all done -- because it's  
3 all in one report, right, so isn't it done --

4 DR. SANDY: The NTP -- you're correct, Dr.  
5 Woodruff.

6 COMMITTEE MEMBER WOODRUFF: Because on my --

7 DR. SANDY: The NTP --

8 COMMITTEE MEMBER WOODRUFF: -- because there's --

9 DR. SANDY: Yes. Yes. The NTP studies were --  
10 they tested seven different PFASs, yes. And it's all  
11 reported in two different publications, yes.

12 COMMITTEE MEMBER BRETON: Thank you.

13 CHAIRPERSON LUDERER: Any other clarifying  
14 questions from panel members?

15 Dr. Hertz-Picciotto.

16 COMMITTEE MEMBER HERTZ-PICCIOTTO: Just on that  
17 very last thing about the NTP, did they -- they didn't  
18 dose them all simultaneously -- like all of the chemicals  
19 simultaneously, they like did them sequentially, or did  
20 one and then cleared the system and cleared them out or  
21 what --

22 DR. SANDY: I believe they were done at -- I  
23 don't know if they were done at the same time. They  
24 were -- they're different experiments of testing and using  
25 different doses. And I'll turn to Dr. Li if he recalls if

1 that information is in the document anymore in the NTP  
2 report.

3 DR. LI: Similar to just said is what I saw. And  
4 we don't know whether they did one experiment in October  
5 another one in December, and -- but -- and the designs for  
6 all the groups were very similar, I would say. Beyond the  
7 animal doses, everything was almost identical. Yeah.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: But they were  
9 different --

10 DR. KIM: Different sets of animals. They were  
11 different sets of animals.

12 COMMITTEE MEMBER HERTZ-PICCIOTTO: Different sets  
13 of animals. Okay.

14 DR. LI: Difference between species of animals,  
15 but in different groups. Yeah. Each chemical included  
16 multiple groups of animals.

17 CHAIRPERSON LUDERER: And there was a vehicle  
18 control group for each chemical?

19 COMMITTEE MEMBER WOODRUFF: Um-hmm.

20 DR. SANDY: That's correct. So you can think of  
21 them as a set of experiments, but they're each separate  
22 experiments and use --

23 COMMITTEE MEMBER WOODRUFF: But I -- oh, go  
24 ahead.

25 DR. SANDY: -- using different dose levels.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: Different  
2 animals.

3 DR. SANDY: And different animals for sure, yes.

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

5 COMMITTEE MEMBER WOODRUFF: But the same species  
6 and strain?

7 DR. SANDY: Correct.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes.

9 COMMITTEE MEMBER WOODRUFF: And I just want to  
10 say that looking at the PFDA, there -- while they're  
11 slightly different doses, the doses overlap, right, with  
12 the PFNA, so they have control. They both have 0.625,  
13 1.25, and 2.5.

14 DR. SANDY: Correct.

15 COMMITTEE MEMBER WOODRUFF: Right. Okay.

16 CHAIRPERSON LUDERER: All right. Do we have any  
17 other clarifying questions from panel members?

18 I'm not seeing anymore raised hands at the  
19 moment, so then we can move on to Committee discussion if  
20 there aren't anymore clarifying questions.

21 **COMMITTEE DISCUSSION**

22 CHAIRPERSON LUDERER: So again, we're going to go  
23 through the discussion of the different topic areas by the  
24 primary discussants and then we'll have full Committee  
25 discussion after that. So we'll start again with the

1 epidemiology studies and start with Dr. Hertz-Picciotto.

2 COMMITTEE MEMBER HERTZ-PICCIOTTO: Sure. Am I  
3 muted? No. Good

4 CHAIRPERSON LUDERER: No.

5 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. So just  
6 let me open up my notes here, so I can see them.

7 So I think that the -- you know, as with the  
8 animal studies, there's a lot of similarity, and, in fact,  
9 in some cases, this -- many cases, the same investigative  
10 looked at PFNA and PFDA, and often PFOS and PFOA as well.  
11 And again, similar kinds of findings.

12 One of the -- one of the studies that looked at  
13 anogenital distance, actually I think I may have  
14 misreported as being for PFNA, but they actually -- the  
15 results that had the opposite -- the increase at the third  
16 quartile in anogenital distance was actually the PFDA and  
17 not the PFNA, so just a clarification on that. But again,  
18 the other -- there's really essentially no data really on  
19 AGD.

20 The more abundant literature again is in the  
21 sperm quality and hormones. And here, the -- there's, you  
22 know -- there are just a few really strong studies. I  
23 think again it's the Pan study, the Cui study, and the Ma  
24 study. I'll just say something about some of the other  
25 studies have -- many of them have fairly null findings,

1 including this study, the Specht study, and there's a few  
2 others, Toft also, looking at this cohort in Ukraine,  
3 Greenland, and Poland that tended to have not much -- not  
4 much found at all. And those studies were not -- they  
5 were -- they were null studies, and they were -- they  
6 actually did a pretty good job of controlling for a lot of  
7 factors like, you know, BMI, and age, and, you know,  
8 self-reported genital infections, and testicular  
9 disorders, and semen spillage, and a lot of other things.  
10 So they were reasonable studies with null findings.

11 And then when we come to the stronger studies,  
12 one of them -- actually, another strong study I do want to  
13 mention is the one on the DNA methylation. And that  
14 study -- actually, that study -- sorry, I thought that  
15 that had done PFDA, but I'm looking at it again and it  
16 looks like that was -- that was not true. So, yeah, that  
17 was -- that was just PFNA as far as I can tell here.

18 Okay. So then moving on to the three really much  
19 stronger ones -- where is it? Okay. And Lopez-Espinosa,  
20 I also did not see a PFDA analysis in that, but I'll go  
21 back and check again, because I thought I had seen that.

22 Okay. Scrolling down. Pan. Here we go. So  
23 this is -- this one -- the PFDA -- why am I -- I need my  
24 glasses or something. Something I thought I saw yesterday  
25 and this morning is not showing PFDA, and I thought I just

1 looked at that again.

2           What is going on?

3           One moment.

4           Well...

5           CHAIRPERSON LUDERER: Well, if you're having  
6 trouble finding your documents, would you like us to go on  
7 to Dr. Breton and then have --

8           COMMITTEE MEMBER HERTZ-PICCIOTTO: Well,  
9 actually, yeah, I did find it.

10          CHAIRPERSON LUDERER: Okay.

11          COMMITTEE MEMBER HERTZ-PICCIOTTO: So PFDA  
12 actually does show very similar findings as the PFNA,  
13 which is that there's -- there's -- there seems to be  
14 increases in both the DNA fragmentation index and in the  
15 high DNA stainability findings, not -- nothing really  
16 significant in the concentration or -- but there is some  
17 trend towards the decreased concentrations. And, in fact,  
18 looking at the -- at the actual trends, there actually do  
19 seem to be trends in the motility that are very clear,  
20 and, in fact -- and Similarly for the -- yeah, the  
21 motility, and DFI, and HDS. So those do seem to be in --  
22 showing up again a kind of consistent pattern in that  
23 particular study.

24           The other study - let me move my cursor here -  
25 was the Cui study. And again, my notes -- and when I'm

1 looking at also the table from OEHHA are a little less  
2 clear than I thought they were.

3 (Laughter.)

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: Oh, yeah.  
5 Here it is. The -- oh, that's also PFNA. That was  
6 looking at serum and sperm. Sorry, I thought one of them  
7 was PFNA and one was PFDA. So maybe Cui did not do the  
8 PFDA and I'll -- I can check that and actually maybe  
9 Carrie already has the answer to that as well.

10 And again, Ma also I'm not seeing PFDA in --  
11 except that it was highly correlated with PFNA. So I  
12 think there may be some issue of being able to distinguish  
13 which of those actually was playing a role.

14 Okay. All right. Well, I'm going to say that  
15 there's similar outcomes, and maybe a little less actual  
16 epidemiologic data at hand to evaluate the PFDA. And  
17 maybe Carrie can clarify, because I'm actually a little  
18 big confused at the moment as to whether I misread some  
19 things when I was preparing my notes.

20 COMMITTEE MEMBER BRETON: Chair, I can go now,  
21 but I -- and I'll say sort of right now I think you were  
22 right that Pan and Ma both did also look at PFDA, but I  
23 don't believe Cui did, so -- so -- but they did see -- but  
24 Pan and Ma both saw similar associations as you said, so  
25 they found PFDA associated with several semen quality

1 indicators. So lower semen quality indicators. And for  
2 Ma it, was lower sperm concentration.

3 So, yeah -- so I'll just so I guess pick up and  
4 start with these and say there were four studies that  
5 looked at some aspects of semen quality. And I think all  
6 four of those showed some associations with generally poor  
7 semen quality. And this included the Pan and Ma studies  
8 that we just -- that I just mentioned and then two others.  
9 And I think of all the data that we have to work with,  
10 which in general is a slightly lower body of epi work than  
11 for PFNA, that these four did find some fairly consistent  
12 associations with lower semen quality parameters.

13 The other -- the other associations with  
14 testosterone that were also looked at I think there were  
15 five studies evaluated in this -- with testosterone, and  
16 most of them did not find associations with testosterone.  
17 There was one that did and this was by Zhao et al., in  
18 2016. And this was a study that had about -- so I should  
19 say all of these studies on average have sample sizes that  
20 range from 100 to 200 or 250 tops. So part of I think the  
21 problem with the epi literature is that they're generally  
22 small studies.

23 The Zhao study that showed an association had the  
24 highest -- some of the highest PFAS levels -- or sorry,  
25 PFDA levels. And they comment in their discussion

1 actually that compared to Americans, because this is a  
2 Taiwanese study, that they had much higher levels than  
3 Americans -- than seen in Americans, but those effects  
4 were observed in adolescents. So they were 13- to 15-year  
5 old boys.

6           So the other studies, two of them were done by  
7 the same author, one in 2009 in a sample size that was  
8 only about a hundred. And it was so -- their PFDA levels  
9 were so low that they actually couldn't even do the  
10 analyses completely the way they wanted to. And so then  
11 they, I think, a couple years later come back with a study  
12 that has higher -- a sample size of 250 instead, and they  
13 start to see some non-significant associations with  
14 test -- lower testosterone. But again -- you know, and  
15 then the Ma study, which also had only about a hundred,  
16 had no observed associations with testosterone.

17           So I think suffice it to say there's not a lot of  
18 evidence for testosterone at this point in the epi  
19 literature. I don't think that it means that there's not  
20 an association so much as we're probably not super well  
21 powered to be detecting some of these associations, so I  
22 was sort of leave it as the jury is still out from the  
23 human literature.

24           And so I think the hormones and the semen quality  
25 were the two sets of data that we had I think a descent

1 number of studies to at least start thinking -- start  
2 evaluating. And as Dr. Hertz-Picciotto said, the  
3 anogenital distance ones are -- it's the same two studies  
4 that were -- also looked at the PFNA and that really have  
5 no evidence that's compelling.

6 And then the cancer studies also don't -- there  
7 was really only one and I think it was not -- there was  
8 nothing much to show for that.

9 So of all of the -- of all of the outcomes that I  
10 think that the data are most suggestive for sperm quality.

11 And that's all -- I think that's all I have.

12 CHAIRPERSON LUDERER: Thank you, Dr. Breton.

13 Next, we'll move on to the animal studies,  
14 starting with Dr. Auyeung-Kim.

15 COMMITTEE MEMBER AUYEUNG-KIM: All right. So for  
16 the animal studies, so the NTP study, as mentioned before,  
17 it is very similar to the study design for PFNA, and where  
18 they dosed different sets of animals, so it's a --  
19 different sets of animals by oral gavage repeatedly for 28  
20 days. This is definitely the most robust studies of the  
21 set for PFNA, in the sense that it included clinical,  
22 hormonal, and histological evaluation. Similar to PFNA,  
23 there was statistically significant decrease in body  
24 weight, testes, and epididymal weight. Testosterone  
25 concentrations are also decreased starting at 0.625 mg per

1 kg per day.

2           And they also had -- hold on. And then also  
3 epididymal weights and sperm counts were also  
4 statistically significantly lower, starting at 1.25 mg per  
5 kg per day and went up to 2 -- and was also lower in 2.5  
6 mg per kg per day.

7           The remaining rodent studies that were treated  
8 for PFDA were all acute studies where the animals received  
9 a single dose by IP injection and were evaluated for  
10 several days after exposure. And so in these studies, I  
11 mean, there were really a lot higher doses than what was  
12 studies in the NTP study, where the highest dose in the  
13 NTP side was 2.5 mg per kg per day, these started out at  
14 50 mg per kg per day and went higher.

15           So in the single dose toxicity study published by  
16 Olson and Andersen, this was a 1983 study, they were dosed  
17 with a single dose level of 50 mg per kg per day. And  
18 what they saw was a decrease in testes weight and it was  
19 presumed to be due to tubular atrophy and continued to  
20 frank necrosis on day 16. And so the histo data was  
21 referenced to another study where the rats were treated  
22 with 100 mg per kg per day, so it did not -- the histo  
23 data was not actually in this particular study.

24           A similar study design to the Olson and Andersen  
25 paper, there was another study publish by George and

1 Andersen in 1986. That histopathology was conducted and  
2 the evaluation continued -- or the animals were -- had  
3 received a single dose and were sacrificed on days 4, 8,  
4 12, 16, and 30, so over a period of time. In this study,  
5 atrophy and degeneration of the seminiferous tubules were  
6 observed at day 6 and remained severe at day 30.

7 In the Bookstaff study, they evaluated the  
8 effects of PFDA on the androgen status of sexually  
9 immature rats. These rats were treated with either 20,  
10 40, or 80 mg per kg PFDA single dose by IP injection and  
11 evaluated for seven days. The histologic evaluation  
12 indicated that the testes were normal and -- hold on --  
13 histopathologically -- histopathological evaluation of the  
14 testes indicated that there was normal spermatogenesis and  
15 no histologic changes, so this differs from the George and  
16 Andersen paper that histologic changes were observed. The  
17 difference was -- could potentially be the time frame of  
18 the evaluation being day 7 versus day 8. That's what they  
19 postulated in the study. And then also the strain of rat  
20 used was different. In the latter study, the Bookstaff  
21 study, it was a Sprague-Dawley rat and then the previous  
22 paper was in Fischer 344 rats. So it could be a strain  
23 difference. Most likely, if anything, it may be a strain  
24 difference.

25 The remaining mammalian study was published by a

1 single lab, Van Rafelghem, in 1987 where acute toxicity  
2 studies were conducted in rats, hamsters, guinea pigs, and  
3 mice. These studies also had a single dose of PFDA by IP  
4 injection and they were sacrificed sometime between two  
5 weeks or one month after treatment depending on the  
6 species.

7           And so rats were treated with a single dose -- at  
8 a single dose level of 50 mg per kg and sacrificed on day  
9 16. Hamsters were treated with 50 to 500 mg per kg per  
10 day -- mg per kg and sacrificed on day 16. And guinea  
11 pigs were treated from 125 to 175 mg per kg and sacrificed  
12 on day 14. And note in these studies, the number of  
13 animals in the study -- per each group ranged from three  
14 to five. It was -- for rats, it was five; hamsters, it  
15 was four; guinea pigs, it was three. Oh, and then for  
16 mice, it was 10 per group were treated with 150 to 250 mg  
17 per kg and sacrificed two weeks longer on day 28.

18           And so the results were mixed in these species  
19 and the rat results were similar to the George and  
20 Andersen paper discussed previously where there's  
21 seminiferous tubule degeneration was observed in the  
22 surviving animals for the hamster, which was mid-dose 100  
23 mg per kg, and the guinea pigs, which was at the mid-dose,  
24 of 150 mg per kg, but not at the low or surviving high  
25 dose in the guinea pig study, and these were also to a

1 lesser severity than that seen in rats.

2           However, no histological testes effects were  
3 observed in the mice any doses through day 28, but the  
4 paper indicated that there was slight reduction in  
5 testicular weight compared to the controls at day 28 in  
6 the mice. So some study details in this set of studies  
7 from the -- from this lab was not disclosed calling into  
8 question the quality of the study.

9           And then the last study was in zebrafish and 10  
10 eggs were exposed to a range of PFDA from of all -- 0.1,  
11 to 10 mg per liter from -- for one day post-fertilization  
12 to 120 days post-fertilization. The fish were sampled  
13 from replicate tanks and then essentially it was  
14 determined that significant -- there was significant  
15 increases in E2, testosterone ratios and E2 to 11-KT  
16 ratios in the male zebrafish with no effects on the plasma  
17 E2, testosterone or 11-KT levels. There were no studies  
18 available on the effects of PFDA on male fertility in the  
19 reproduction study. And so that's it for the studies.

20           And in general, the study -- there was only one  
21 study of good quality, which was the NTP study. The other  
22 studies I struggled with again, because it was high --  
23 super high dose levels, even higher than what we evaluated  
24 for the PFNA studies. In addition, they were single dose  
25 studies and dosed buy IP injection, which can impact the

1 pharmacokinetics of the -- of the compounds.

2           So essentially, my conclusion again for PFDA is  
3 that there's no clear evidence that it is a reproductive  
4 toxicant based on the animal studies that we reviewed.

5           CHAIRPERSON LUDERER: Thank you, Dr. Auyeung-Kim.

6           Dr. Woodruff, would you like to comment on these  
7 animal studies?

8           COMMITTEE MEMBER WOODRUFF: Yes. Thank you.

9 Thank you to staff for their summary and Dr. Kim. I want  
10 to first start off by thanking the people who talked about  
11 the epidemiology studies and that they noted that -- and  
12 I'm going to focus on semen quality, because that seems to  
13 be the most responsive endpoint in the animal and the  
14 human studies, and that they noted that all four  
15 epidemiology studies showed association between PFDA with  
16 poor semen quality, which I had to go back and look at the  
17 papers. And that was often in a dose response fashion.

18           I also want to comment that PFDA is structurally  
19 very -- you know, pretty similar -- very similar kind of  
20 like two PFNA, so we would expect similar types of  
21 affects, even though I agree -- even though there is less  
22 high quality studies in the animal studies compared to  
23 what we had with PFNA.

24           However, we only need one good high quality  
25 animal data to make a decision per the guidelines of what

1 we -- for the Committee, and the National Toxicology  
2 Program study is a reasonably high quality study. I just  
3 note that they evaluated multiple -- as we discussed,  
4 multiple individual PFAS using the same experimental  
5 design, but slightly different dose levels. The same  
6 rats, same housing, same set up, but slightly different --  
7 same type of rats, slightly different dosing regimes  
8 between the PFNA and the PFDA.

9           And I wanted to comment on the -- I agree that  
10 the IP studies were single injections of very high  
11 exposure levels, so it's very difficult to conclude  
12 anything from those, but I just wanted to note that you  
13 did have an opportunity to look at the comparison of the  
14 different species to each other in the Van Rafelghem  
15 study, because they looked in the same experimental design  
16 rats, hamsters, mice, and guinea pigs. And I think we see  
17 this commonly in studies in general that the mice look to  
18 be a little bit more sensitive to dosing than rats,  
19 hamsters, and maybe the guinea pigs. So I think that's  
20 commonly seen in toxicological studies and something we  
21 should keep in mind when we're looking at the results from  
22 the rat studies for NTP, again that -- and that humans  
23 would be more sensitive than that.

24           So when you compare -- since they used similar  
25 dosing regimes between the PFDA and the PFNA, when I

1 compared the response -- and I'm going to focus on the  
2 sperm quality, because that is the one that's most  
3 consistent with the human findings, the spermatid heads,  
4 the epididymal sperm counts, and the epididymal sperm  
5 count, the -- they -- you can look at the 0, 0.625, 1.25,  
6 2.5, they can take out those various high doses,  
7 because -- and just focus the 0, the control, 0.625, 1.25,  
8 and 2.5 and you can see actually a very similar  
9 response -- dose response for those three sperm  
10 measurements between -- for both PFDA, which we  
11 just decided was a male reproductive toxicant -- I mean,  
12 PFNA, that's the one we decided on, and the PFDA. And if  
13 you look at it, the responses are very, very similar in  
14 terms of a decline in those sperm metrics across the 0,  
15 0.625, 1.25, and 2.5 dose.

16           Again, the way that the analysis is done is that  
17 they do individual comparisons to the control and there's  
18 not a valuation of the trend, like there would be in an  
19 eval -- in an epidemiology study, though they do note,  
20 so -- but you can see a consistency between this and the  
21 epi studies. So I agree with there's less evidence, but  
22 there's -- in terms of studies that have been done, but  
23 the high quality studies are consistent in terms of  
24 effects on sperm with the human epidemiological evidence.

25           Thank you.

1 CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.

2 Next, we're going to move on to discussion of the  
3 mechanistic studies and we'll start with Dr. Allard.

4 COMMITTEE MEMBER ALLARD: Yeah. Thank you. I  
5 have to admit that I did not really know where to start,  
6 looking at PFDA compared to PFNA at least. I did not  
7 really know what sort of endpoint to latch on to then look  
8 at the mechanistic basis for it. And I -- you know, I  
9 didn't want to be biased by the HID document too much.  
10 So, you know, I sort of felt that the testosterone  
11 endpoint was a little bit inconsistent across the studies.  
12 I had a hard time including all the IP data that was  
13 generated in mouse studies, because IP studies at a high  
14 level single injection I did not want to lean on that kind  
15 of data, so that's sort of, to me at least, left the field  
16 a little bit open as to what to -- what to look at.

17 Also, compared to -- and I know this is not  
18 comparative. We're looking at them independently.  
19 However, compared to PFNA, PFDA had a less penetrant  
20 effect or less pronounced effect on the host of different  
21 enzymes that are important for steroid hormone production.  
22 So they didn't see the same thing. For example, with  
23 StAR, it had no effect on StAR, however there was -- some  
24 studies had an effect on TSPO, but TSPO in itself is kind  
25 of controversial in some sense. The role of TSPO is still

1 not actually very, very clear. So I could not necessarily  
2 lean on that either.

3           There seemed to be an effect on aromatase, but  
4 that as well seemed to be inconsistent across studies. So  
5 in the end, I was sort of left again to turn to ToxCast,  
6 which told me that there's not a lot of in vitro, you  
7 know, like cellular assays that I elicited by PFDA below  
8 the lowest level of cytotoxicity, right? So what you  
9 would hope from those in vitro assays is that you detect  
10 something that's a clear signal that is way below or  
11 significantly below the cytotoxic level. You want to make  
12 sure that what you're looking at is indeed specific.

13           And again, we fall back onto the same receptor,  
14 FXR, as we did for PFNA. Although, the AC50 for PFDA was  
15 a lot higher. We're talking about in this case, you know,  
16 500 -- I think it's 522 -- talking about all this from  
17 memory -- 522 nanomolar, yes, AC50 compared to PFNA, which  
18 was a lot lower. And the magnitude of the effect was --  
19 yeah, of the effect was not even that strong. Although,  
20 it was actually a little bit stronger than PFNA.

21           So in the end, actually, I had a hard time sort  
22 of coming up with a potential model of action for PFDA.  
23 You know, there's some elements there, but just like I  
24 think we've already sort of heard from the human side and  
25 the animal study side, I felt that once you sort of

1 exclude the stuff that's perhaps a little bit low quality,  
2 which I -- you know, I think we may have different metrics  
3 on sort of what a good high quality study looks like, but  
4 you're not actually left with much to -- from my  
5 perspective to actually draw a model of mechanism of  
6 action for PFDA. So that's sort of unfortunately a little  
7 bit unsatisfactory, but that's what I'm left with in terms  
8 of concrete information.

9 CHAIRPERSON LUDERER: Thank you, Dr. Allard.

10 Dr. Pessah, would you like to discuss the  
11 mechanistic studies?

12 COMMITTEE MEMBER PESSAH: Sure. PFDA is a very  
13 different chemical from PFNA. They may look similar, but  
14 the two additional carbons and two additional fluorines  
15 increase the log P of the decanoic by tenfold. So it's  
16 solubility properties are quite different. If you look at  
17 the molecular area that the two additional fluorines  
18 contribute to the structure of the decanoic, it makes it a  
19 much bulkier molecule.

20 I am rather surprised that you can actually do  
21 studies at 50 or even 10 mg per kg IP. My guess is that  
22 they put it either in oil or in Tween. I know that many  
23 of the studies that were oral gavage used detergent, Tween  
24 20 I believe, to make sure that they could actually keep  
25 the molecule in solution or at least in suspension. Given

1 the tenfold lower solubility of the decanoic, this may  
2 explain at a particular receptor, in this case, the one  
3 that Dr. Allard mentioned, that there would be  
4 significantly less potency, because for every squirt that  
5 you put in your biological preparation, much less of it  
6 will be in solution, predictably anywhere between nine-  
7 and tenfold less. And things have to be in solution to  
8 interact with receptors, so I think that may explain and  
9 it may be a trivial explanation.

10 So I still have tremendous concerns about the in  
11 vitro and animal studies, given the disparity between the  
12 concentration used in all of these animal studies, both in  
13 vitro and in vivo and the relevant concentrations detected  
14 in human beings, but that's -- I'll stop there.

15 CHAIRPERSON LUDERER: Thank you. Thank you, Dr.  
16 Pessah.

17 We now have time for additional Committee  
18 discussion. And again, please raise your hands if you  
19 would like to speak.

20 Dr. Allard.

21 COMMITTEE MEMBER ALLARD: Yeah, I guess -- it's  
22 more of a comment than a question, and it's sort of, you  
23 know, me thinking through what Dr. Woodruff said about we  
24 may not need a lot of studies. We just need one really  
25 high quality study, and really talking about the NTP

1 study, right? That's what we're all talking about. Is  
2 that really of all the studies that we've discussed and  
3 we've looked at, is that really the one study that's left  
4 at the end, once we use some sort of quality metrics in  
5 our minds about studies, human and animal?

6 COMMITTEE MEMBER WOODRUFF: No. No. No. I  
7 didn't -- I didn't comment on the quality of the  
8 epidemiological evidence.

9 COMMITTEE MEMBER ALLARD: Okay.

10 COMMITTEE MEMBER WOODRUFF: But the other  
11 studies -- I mean, just thinking about their dosing, you  
12 know, they have pretty -- they have an acute exposure at a  
13 very high dose. And I would say -- I mean, I did look at  
14 the -- at their -- the methodological features and some of  
15 these studies are very old. I think that also tends to  
16 influence -- you know, methodological quality has improved  
17 over time, but I mean they -- all of them, except for the  
18 Bookstaff study, said that they randomized. There was --  
19 it was unclear about the blinding as Dr. Pessah has  
20 mentioned. They seem to have reported all their outcome  
21 in outcome data, so -- but I think it's the IP injection  
22 that makes it difficult to really compare it to the other  
23 studies that we've been looking at to me. It's very high  
24 and a single dose, so -- I don't know, Dr. Luderer. What  
25 do you think?

1           CHAIRPERSON LUDERER: I agree, the IP route with  
2 the single high dose, I mean, it's not a relevant route of  
3 human exposure. And it's -- And they're very high doses.  
4 So I agree with that. That was my assessment as well.  
5 Dr. Breton, did you have -- you had your hand raised.

6           COMMITTEE MEMBER BRETON: Yeah. I was just going  
7 to sort of say, but you guys kind of discussed it anyway,  
8 but I had -- also, I think it said somewhere in this set  
9 of instructions that we had that, you know, a single well  
10 done study is -- can be sufficient evidence for, you know,  
11 making a decision. So I had -- I recall that as well.

12           But I just wanted to clarify, but you already  
13 clarified that, yeah, the -- you weren't talking about epi  
14 studies --

15           COMMITTEE MEMBER WOODRUFF: No. No. No, I'm  
16 sorry. I did not mean to say that.

17           COMMITTEE MEMBER BRETON: -- being -- No, no, no,  
18 I know. And that's fine, because I was going to say that,  
19 you know, I think that there are -- you know, there were  
20 several consistent epi studies when it came to the semen  
21 parameter -- semen quality parameters that showed a  
22 consistent effect.

23           COMMITTEE MEMBER WOODRUFF: Right. I didn't --

24           CHAIRPERSON LUDERER: Thank you. Any other  
25 comments or questions?

1 Dr. Pessah.

2 COMMITTEE MEMBER PESSAH: I would love to see a  
3 study modeled after the NTP study down at about a  
4 hundred-fold lower dose range. I think that would be  
5 extremely important. I mean, I know we don't have that,  
6 but it's just a thought.

7 CHAIRPERSON LUDERER: I would agree. Thank you.  
8 Are there any other comments, questions?

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah, this is  
10 Irva

11 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto,  
12 please.

13 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. Hi.  
14 Yeah, I think one of -- as I was looking through those epi  
15 papers and actually comparing the PFNA and PFDA, it's --  
16 in the cases where PFDA does show some effect, it seems --  
17 you know, with regard to I think it's testosterone for  
18 example, it has a weaker effect, although it was  
19 significant. But the correlation between PFNA and PFDA  
20 was somewhere around 0.8, which -- and then in light of  
21 Isaac's comment about the solubility and the likelihood  
22 that the PFDA is actually getting, you know, into the  
23 tissues and to the receptors being lower, I think it  
24 suggests that there could be quite a bit of confounding,  
25 and that if you were to choose between the two, the PFNA



1 could you please show the public comment housekeeping  
2 slide with the URL to the speaker request form.

3 (Thereupon a slide presentation.)

4 CHAIRPERSON LUDERER: Thank you.

5 So as a reminder, I just wanted to let people  
6 know that if you would like to make a public comment,  
7 please go to the URL that's shown on this screen and fill  
8 out a speaker request card. Alternatively, you can click  
9 on these Zoom webinar raise hand icon to indicate that you  
10 would like to speak. And I'll ask Julian, if we have  
11 received any speaker request cards.

12 MR. LEICHTY: We have not received any speaker  
13 request cards.

14 CHAIRPERSON LUDERER: Okay. Thank you.

15 Do we have any raised hands?

16 DR. MARDER: There is, in fact, one raised hand.

17 CHAIRPERSON LUDERER: All right. Then it looks  
18 like we -- that's our one public comment right now. So  
19 can we let that person speak or --

20 DR. MARDER: Yes, absolutely. Ms. Hume, I am  
21 allowing you to talk. You will need to unmute and you  
22 have up to five minutes.

23 MS. HUME: Thank you so much for everyone here.  
24 What a -- what a grueling process. Hats off to everyone  
25 here.

1           So dear committee. Hello my name is Suzanne  
2 Hume. I'm the Educational Director and founder of  
3 CleanEarth4Kids.org. We ask you to list PFDA as Prop 65  
4 because of reproductive toxicity. Just like PFNA, studies  
5 show PFDA affects developmental toxicity. We're concerned  
6 about semen quality. PFDA is similar to PFNA, so it can  
7 be expected similar results, as pointed out in the  
8 national quality study using the same design, same types  
9 of rats and housing. We're concerned about sperm quality  
10 indicators, lower sperm concentrations, hormones, organ  
11 weight. I can go on and on. So we would like you to  
12 please protect us and add PDF -- or I'm sorry PDF -- PDFA  
13 to -- as Prop 65.

14           We also ask that PFAs, PFAs, be regulated as a  
15 class. How many studies do we need, right, where we  
16 really just need one as stated before. The problem with  
17 PFAs, obviously, PFAs, is the cumulative effects. And  
18 when we are looking at these studies in isolation and not  
19 looking at cumulative effects and ongoing effects, what  
20 the public is actually facing, you know, with the products  
21 we're encountering every day, you know, these chemicals in  
22 our air, our water, our environment our food, and the fact  
23 that we're facing these multiple cumulative exposures on a  
24 daily basis, we really need to -- we really need to change  
25 things and look at these in a cumulative way.

1           So I have a lot I could say. It's been a really  
2 long day. And I apologize, I missed the earlier comments.  
3 If you had called my name, I'm not sure, on PFNA. I was  
4 called away during your -- the last part of your lunch  
5 break. But I just would like to say thank you so much to  
6 everyone today. You know, the whole purpose of having  
7 things listed as Prop 65 is to protect the public. And  
8 it's just -- it's just really so important what you're  
9 doing. And as, you know, these things will help other  
10 states, and, you know, the world.

11           So people look to California and we are looking  
12 to you for help. So thank you so much. We ask that you  
13 please list PFDA as Prop 65. Thank you from  
14 CleanEarth4Kids.org.

15           CHAIRPERSON LUDERER: Thank you very much, Ms.  
16 Hume.

17           Do we have any other requests for comments from  
18 the public that have arisen, speaker request cards or  
19 raised hands?

20           DR. MARDER: There are no other raised hands at  
21 this time, Dr. Luderer.

22           CHAIRPERSON LUDERER: Thank you.

23           MR. LEICHTY: And no speaker requests cards as  
24 well.

25           CHAIRPERSON LUDERER: All right. Thank you very

1 much.

2 **COMMITTEE DISCUSSION AND DECISION**

3 CHAIRPERSON LUDERER: Next, we'll move on then to  
4 the Committee discussion and decision on PFDA and it  
5 salts. Would any of the Committee members like to comment  
6 before the vote?

7 COMMITTEE MEMBER WOODRUFF: I have a question.

8 CHAIRPERSON LUDERER: Yes, Dr. Woodruff.

9 COMMITTEE MEMBER WOODRUFF: Yeah. I was -- I was  
10 interested in the -- this PBPK discussion that we've been  
11 having. And the data in the document show that the  
12 similar half-lives and that PFDA is distributed throughout  
13 the body. It's been measured in brain, lung, and kidney,  
14 crosses the blood-brain barrier, and the placenta, and  
15 been detected in fetal tissues, cord serum, and breast  
16 milk.

17 So I guess I was thinking that that -- I mean,  
18 it's certainly hitting target organs, I guess that's what  
19 I'm saying, similar to PFDA. So that was -- I just wanted  
20 to note that.

21 CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.

22 Any other comments from other panel members?

23 Dr. Breton.

24 COMMITTEE MEMBER BRETON: I guess I would just  
25 say I'm struggling a little bit right now, because I'm

1 thinking a little bit about what Irva was saying and  
2 thinking about, you know, not having -- appreciate the  
3 high correlation between the two and, at least in the epi  
4 studies not being -- you know, because so far, we haven't  
5 seen any studies that have really tried mixtures  
6 approaches to -- which might provide some weight towards,  
7 you know, one over the other.

8           You know, so balancing -- I guess this is perhaps  
9 not a question, but just a commentary, because I'm just  
10 mulling over sort of those last comments and trying to  
11 balance that. You know, since it seems like, to some  
12 extent, the epi literature is actually some of the  
13 stronger literature here than the -- at the moment, than  
14 the -- than some of the animal or in vitro, unless --  
15 yeah. Just my comment.

16           CHAIRPERSON LUDERER: Thank you.

17           Any additional comments or questions before we  
18 move on to the vote?

19           Okay. Not seeing any, then we -- is everyone  
20 ready to vote? Anyone not ready to vote?

21           Okay. All right.

22           COMMITTEE MEMBER HERTZ-PICCIOTTO: I have a  
23 question.

24           CHAIRPERSON LUDERER: Yes.

25           COMMITTEE MEMBER HERTZ-PICCIOTTO: That is

1 maybe -- I don't know. I'm wondering -- and I maybe  
2 should have asked this earlier in the meeting, but we --  
3 when we met last year, we put together some kind of  
4 priority list. And within the PFAS, we had multiple kinds  
5 of outcomes -- reproductive developmental outcomes. And I  
6 was just curious as to the choice of male reproductive  
7 toxicity being selected as the one to move forward with  
8 this year. And when I looked back over my notes, it  
9 wasn't -- it didn't seem clear to me that this was for  
10 PFNA and PFDA that this was the most -- I wasn't clear  
11 whether this was actually the most -- seemed to be the  
12 most sensitive or not among the various outcomes that we  
13 had looked at last -- as we did the prioritization, so...

14 DR. SANDY: I can try to address that. This is  
15 Martha Sandy. We selected all four of the PFAS chemicals  
16 that you prioritized last year and asked for relevant  
17 information from the public on all four. And we intend on  
18 looking at other endpoints and other chemicals in the  
19 coming year.

20 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

21 DR. SANDY: And we selected these. We had a  
22 fairly short window of time to develop this document.

23 COMMITTEE MEMBER HERTZ-PICCIOTTO: Um-hmm.

24 DR. SANDY: And so we selected the male  
25 reproductive endpoint and these two chemicals to bring to

1 you this year.

2 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

3 CHAIRPERSON LUDERER: Thank you, both of you, Dr.  
4 Sandy and Dr. Hertz-Picciotto.

5 Any other comments from anyone?

6 All right. Then we can move on to the vote. The  
7 question before the Committee is, has perfluorodecanoic  
8 acid, or PFDA, and its salts been clearly shown through  
9 scientifically valid testing, according to generally  
10 accepted principles, to cause male reproductive toxicity?

11 So I'll now call each of your names and ask you  
12 to vote yes, no, or abstain on this question. So starting  
13 off with Dr. Allard?

14 COMMITTEE MEMBER ALLARD: No.

15 CHAIRPERSON LUDERER: Okay. Dr. Auyeung-Kim?

16 COMMITTEE MEMBER AUYEUNG-KIM: No.

17 CHAIRPERSON LUDERER: Dr. Breton?

18 COMMITTEE MEMBER BRETON: I'm going to abstain.

19 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto?

20 COMMITTEE MEMBER HERTZ-PICCIOTTO: I'm also going  
21 to abstain.

22 CHAIRPERSON LUDERER: Okay. I'm going to vote  
23 no.

24 And next Dr. Nazmi?

25 COMMITTEE MEMBER NAZMI: Voting no.

1 CHAIRPERSON LUDERER: Dr. Pessah?

2 COMMITTEE MEMBER PESSAH: No.

3 CHAIRPERSON LUDERER: Dr. Woodruff?

4 COMMITTEE MEMBER WOODRUFF: I'm going to abstain.

5 CHAIRPERSON LUDERER: All right. So I count  
6 three abstain, and one, two, three, four, five no.

7 The staff agree with that tally?

8 DIRECTOR ZEISE: Yes.

9 CHAIRPERSON LUDERER: All right. So we have then  
10 five no votes and three abstain. And that's all we need  
11 to say, right? We don't need -- there's no requirement  
12 for a certain number of no votes and abstain votes, I  
13 don't think?

14 DIRECTOR ZEISE: That's correct.

15 CHAIRPERSON LUDERER: All right. Great.

16 Okay. Then thank you everyone.

17 Next, we will move on to the consent item. So  
18 this is an update of the California code of Regulations --

19 COMMITTEE MEMBER WOODRUFF: Can I --

20 CHAIRPERSON LUDERER: Yep.

21 COMMITTEE MEMBER WOODRUFF: Can I just make a  
22 comment about the documents?

23 CHAIRPERSON LUDERER: Um-hmm.

24 COMMITTEE MEMBER WOODRUFF: Yeah I just want to  
25 say, I appreciate all the work that goes into this and

1 that I also appreciate that you started to move towards a  
2 platform for doing your systematic searches that can be  
3 more transparent for us to look at with the HAWC program.  
4 And I want to -- I would like to see next year that you  
5 use the HAWC program to actually do the data extraction  
6 for these studies. I think having us be able to all look  
7 at the same data laid out as they do in HAWC, which has  
8 been, you know, implemented at EPA, National Toxicology  
9 Program, and not just ORD, but also in the Office of  
10 Water, and like their latest PFAS drinking water table,  
11 would actually be very useful for us and extremely time  
12 saving, so we don't have to -- we -- it will help us look  
13 across all these studies.

14           And I would encourage also that we consider  
15 looking a meta-analyses for these studies. I just will  
16 reference the PFOA drinking water document that just was  
17 released by EPA, which used the systematic review  
18 approach, and that HAWC data, and included a  
19 meta-analysis. And then by conducting the meta-analysis,  
20 it improved the statistical power to observe effects in  
21 the epidemiologic and the animal data. And I -- probably,  
22 you've seen this document, but their RRD is set I think at  
23 a lower level than the State of California is at a 1.5  
24 times 10 to the minus 9 milligram per kilogram day. And  
25 it's based because they were able to do this meta-analysis

1 work and systematic reviews.

2           So I hope that the State of California will take  
3 the methods that EPA is using, which the National Academy  
4 of Sciences just commented on, and improve upon them, and  
5 make I think every -- it much more efficient for everyone  
6 and clearer to us in the public.

7           So thank you.

8           CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.

9           Any additional comments?

10           **CONSENT ITEM - UPDATE OF THE CALIFORNIA CODE OF**  
11 **REGULATIONS TITLE 27 SECTION 27000 LIST OF CHEMICALS WHICH**  
12 **HAVE NOT BEEN ADEQUATELY TESTED AS REQUIRED**

13           CHAIRPERSON LUDERER: All right. So then we can  
14 move on to the update of the California Code of  
15 Regulations, Title 27, Section 27000 list of chemicals  
16 which have not been adequately tested as required. So as  
17 I said, this is a ministerial item. And the Committee is  
18 being asked to affirm changes in response to submissions  
19 from the Department of Pesticide Regulation and the U.S.  
20 Environmental Protection Agency.

21           So I'd like to ask OEHHA Special Assistant for  
22 Programs and Legislation, Julian Leichty, for the staff  
23 presentation.

24           (Thereupon a slide presentation.)

25           MR. LEICHTY: Thank you, Dr. Luderer. So this

1 slide indicates the proposed change based on information  
2 received from the California Department of Pesticide  
3 Regulation, the removal of triethylene glycol detailed in  
4 the staff report provided to the Committee.

5 And I will now turn things back to Dr. Luderer.

6 CHAIRPERSON LUDERER: Okay. So again, this is a  
7 consent, so I'd like to ask the Committee members if they  
8 are ready to vote or if they have any clarifying  
9 questions?

10 Not seeing any --

11 COMMITTEE MEMBER HERTZ-PICCIOTTO: I'm sorry. I  
12 may have missed a document. Was there the DPR document  
13 that's referred to in that previous slide for us? Was  
14 that sent around and I missed it, the triethylene glycol  
15 reported by the DPR?

16 MR. LEICHTY: Yes, there -- it was in the  
17 materials provided through the server.

18 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

19 DR. SANDY: Julian, this is Martha Sandy, you  
20 might clarify as to what the document actually was.

21 MR. LEICHTY: It's -- oh, yeah, the document  
22 itself, yes, is a -- is a letter and it's within a staff  
23 report.

24 DR. SANDY: So just to clarify, Dr.  
25 Hertz-Picciotto, it's a letter received from the

1 California Department of Pesticide Regulation to OEHHA  
2 answering our question if this list need to be updated.

3 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

4 DR. SANDY: And it's in the staff -- the staff  
5 document -- the staff report we sent to you.

6 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. Okay.  
7 All right.

8 CHAIRPERSON LUDERER: All right. So does -- any  
9 other clarifying questions from the panel members?

10 Okay. Then we can -- I'm not sure what that was,  
11 but excuse me. So we can -- again, I want to say this is  
12 a consent. And so if we're all ready to vote, then I will  
13 read the formal question, which is, should section 27000  
14 of the Title 27 of the California Code of Regulations be  
15 amended as indicated in the staff report? And I'll read  
16 everyone's name one by one and ask you to vote yes, no, or  
17 abstain on this question.

18 Dr. Allard?

19 COMMITTEE MEMBER ALLARD: Yes.

20 CHAIRPERSON LUDERER: Dr. Auyeung-Kim?

21 COMMITTEE MEMBER AUYEUNG-KIM: Yes.

22 CHAIRPERSON LUDERER: Dr. Breton?

23 COMMITTEE MEMBER BRETON: Yes.

24 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto?

25 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes.

1 CHAIRPERSON LUDERER: Dr. Luderer, yes

2 Dr. Nazmi?

3 COMMITTEE MEMBER NAZMI: Yes.

4 CHAIRPERSON LUDERER: Dr. Pessah?

5 COMMITTEE MEMBER PESSAH: Yes.

6 CHAIRPERSON LUDERER: Dr. Woodruff?

7 COMMITTEE MEMBER WOODRUFF: Yes.

8 CHAIRPERSON LUDERER: All right. So everyone  
9 voted yes, so that's 8 yeses, no noes, and no abstains.

10 **STAFF UPDATES**

11 **CHEMICAL LISTINGS VIA THE ADMINISTRATIVE LISTING MECHANISM**

12 **AND SAFE HARBOR LEVELS**

13 CHAIRPERSON LUDERER: All right. Then moving on  
14 to our next item, which is staff updates. We're going to  
15 have staff updates on Proposition 65 listings,  
16 regulations, and litigation that have taken place since  
17 the last meeting.

18 So again, I'd like to ask Julian Leichty to make  
19 the presentation?

20 (Thereupon a slide presentation.)

21 MR. LEICHTY: All right. So since the  
22 Committee's last meeting, we have administratively added a  
23 reproductive toxicity endpoint, development toxicity to  
24 the listing of bisphenol A. And we have added two  
25 chemicals to the Proposition 65 list as causing cancer.

1 These chemicals are molybdenum trioxide and indium tin  
2 oxide

3 Next slide, please.

4 NEXT SLIDE

5 MR. LEICHTY: I'll now move to the chemicals  
6 currently under consideration for administrative listing,  
7 which are perfluorooctanoic acid, PFOA, tetrahydrofuran,  
8 2-ethylhexyl acrylate, methyl acrylate, and  
9 trimethylolpropane triacrylate, technical grade.

10 And next slide, please.

11 NEXT SLIDE

12 MR. LEICHTY: Now, turing to safe harbor levels.  
13 Since the last meeting, four safe harbor levels have been  
14 adopted in regulation. No significant risk levels were  
15 adopted for p-Chloro-alpha,alpha,alpha-trifluorotoluene,  
16 dibromoacetic acid, dichloroacetic acid, and  
17 trichloroacetic acid.

18 And next slide, please.

19 NEXT SLIDE

20 MR. LEICHTY: Lastly, we have proposed safe  
21 harbor levels for one chemical, 1,3-dichloropropene for  
22 the inhalation and oral routes.

23 And now, I will turn the presentation to Carol.

24 NEXT SLIDE

25 **OTHER REGULATIONS AND LITIGATION**

1 CHIEF COUNSEL MONAHAN CUMMINGS: I don't know if  
2 you can see me. I can't see me.

3 (Laughter.)

4 CHIEF COUNSEL MONAHAN CUMMINGS: So for other  
5 regulatory actions that we're taking currently, at your  
6 last meeting, I think we mentioned that we were in the  
7 process of adopting some additional warning methods for  
8 alcoholic beverages and we completed that rulemaking. And  
9 those changes were effective April 1st of this year. We  
10 currently have five other regulatory actions that are in  
11 one -- sorry, in one level or another of review, the first  
12 one being a regulation we have proposed that would set  
13 concentration levels for chemicals that are created  
14 through cooking or heat processing. And our first set of  
15 concentration levels are for acrylamide. We have  
16 internally completed the regulation and submitted it to  
17 the Office of Administrative Law for review. And we're  
18 hoping to have that approved and adopted within the next  
19 couple months.

20 We have also developed tailored warnings for  
21 cannabis and THC. There's actually four of them,  
22 depending on the way that a person would be exposed. And  
23 those are -- the regulatory process is complete on our  
24 side, and that has been submitted to the Office of  
25 Administrative Law for review also, hopefully to be

1 approved in the next few weeks.

2           We are also in -- still in the regulatory process  
3 for some changes to what we call the short-form safe  
4 harbor warnings for local exposures. This was part of our  
5 changes to the regulations back in 2016. We adopted a --  
6 what we called a short-form warning that could be used on  
7 small packages, and parts, and things likes that. Over  
8 time, it became clear that that was being used on much  
9 larger packages, where the full warning could be included.  
10 And so we have proposed some changes to that regulation  
11 that would restrict the amount or the size of a label that  
12 could use the short form to -- currently that's proposed  
13 at 12 square inches and also a requirement that we -- that  
14 the business include the name of at least one chemical for  
15 which the warning is being given. And there's a slight  
16 change in the wording of the warning.

17           So we are currently in the second public comment  
18 period. We made some additional changes to the proposal  
19 and expect the comments to come in by mid-January, and  
20 then we can hopefully complete the process for that  
21 regulation within the next three or four months.

22           The last two are for, what we call, tailored  
23 warnings for exposures to particular chemicals. One is  
24 for exposures to acrylamide from foods. And it is a --  
25 somewhat a departure from the way that we normally frame

1 our warnings for Prop 65, but it's partially in response  
2 to some litigation that I'll mention in a couple minutes  
3 in the federal courts about first amendment rights of  
4 businesses when the government is compelling commercial  
5 speech.

6           So we're trying to address some of the concerns  
7 that the appellate courts and the trial courts have  
8 identified for these two particular chemicals. Acrylamide  
9 is a little bit different in terms of what the concerns  
10 are. The argument is that acrylamide hasn't been shown to  
11 cause human cancer from any particular food and so the  
12 companies shouldn't have to provide a warning at all. We  
13 have changed our warning, as I said, to try and address  
14 the court's opinions, and we'll see what happens with  
15 that, but it has -- it was developed in response to the  
16 litigation.

17           The same thing for glyphosate, which is a  
18 pesticide that you may recall was listed some time ago and  
19 has been the subject of litigation for a long time and we  
20 have proposed a special tailored warning for consumer  
21 product exposures to glyphosate, again in response to some  
22 concerns that were articulated by courts.

23           So next slide.

24   NEXT SLIDE

25           CHIEF COUNSEL MONAHAN CUMMINGS: So the two cases

1 I had just mentioned that are in the federal court are the  
2 this National Association of Wheat Growers versus Bonta.  
3 It has to do with glyphosate warnings and First Amendment  
4 argument that the warnings should not be required, because  
5 it's only the IARC that has identified that chemical is  
6 causing cancer, and other agencies, including U.S. EPA,  
7 have said it is unlikely to be a human carcinogen.

8           And that is on hold currently, because we've  
9 proposed to adopt this special warning and the court is  
10 waiting to see if we complete that, and then to look at  
11 the new warning and see if it comports with the first  
12 amendment.

13           The same thing with Cal Chamber versus Bonta  
14 case. That case is on hold waiting for our regulatory  
15 process to be completed to see if the proposed warning  
16 will comply with what the court feels is needed to meet  
17 the First Amendment requirements.

18           This -- the case of Council for Education and  
19 Research on Toxics, or CERT, versus Starbucks has been in  
20 the courts of over 10 years. And most recently in the  
21 trial court, you may recall that we had adopted a  
22 regulation essentially saying that chemicals that are  
23 formed in coffee from the roasting and brewing of coffee  
24 don't require a warning under Prop 65, because there's  
25 some very special circumstances in this -- that's related

1 to the chemical mixture of coffee.

2           And so our regulation was actually used in this  
3 case as a defense, and was successful. So the CERT has  
4 appealed that decision to the court, and we have recently  
5 filed a brief in that case, amicus brief, defending our  
6 regulation and addressing some other issues. And so we'll  
7 see what happens in that case. It's still in the court of  
8 appeal.

9           Physicians for Responsible Medicine, this is a  
10 petition we had to list processed meats -- all processed  
11 meats under Prop 65 as carcinogens. We declined to list,  
12 and so there's a case pending in the trial court. And  
13 we're in the process of negotiating some discovery in that  
14 case. So it's pretty early to know how it's going to come  
15 out.

16           We actually resolved one case.

17           (Laughter.)

18           CHIEF COUNSEL MONAHAN CUMMINGS: These take so  
19 long. But this one is the American Chemistry Council  
20 versus OEHHA. Many years ago, we had - I think it was in  
21 2015 or earlier - we had listed bisphenol A for  
22 developmental tox -- as a developmental toxicant for a few  
23 days before we were ordered by the court to take it off  
24 the list. We litigated that case all the way up. In  
25 fact, the American Chemistry Council asked the State

1 Supreme Court to take the case and they declined. And so  
2 we were able to relist bisphenol A for developmental  
3 effects. It was already listed for female reproductive  
4 effects. So that's our current litigation. Does anybody  
5 have any question on either one of those?

6 Okay. Thank you.

7 CHAIRPERSON LUDERER: I don't see any questions.  
8 Thank you very much.

9 CHIEF COUNSEL MONAHAN CUMMINGS: Um-hmm.

10 **SUMMARY OF COMMITTEE ACTIONS**

11 CHAIRPERSON LUDERER: All right. So I'd next  
12 like to ask Dr. -- OEHHA Director, Dr. Lauren Zeise, to  
13 summarize the Committee actions today.

14 DIRECTOR ZEISE: Okay. So good afternoon. So  
15 the Committee found that perfluorononanoic acid, PFNA, and  
16 its salts has clearly been shown to cause male  
17 reproductive toxicity. The vote was six yes, two no, and  
18 so PFNA will be added to the Proposition 65 list.

19 The Committee declined to add perfluorodecanoic  
20 acid and its salts to the Proposition 65 list. It was a  
21 vote of five noes and three abstentions.

22 And then the Committee unanimously voted on the  
23 consent item to agree to the staff report on chemicals  
24 which have not been adequately tested as required to  
25 move -- remove one chemical from the list. And that is --

1 that are -- those are the Committee decisions.

2 And then just to close, I'd like to thank the  
3 public for attending the meeting. Much appreciated. We  
4 really appreciate it when you come and we also appreciate  
5 the input from the public earlier.

6 And then I'd like to also thank the Committee for  
7 your participation in this meeting. Really appreciate the  
8 extensive amount of time it takes to prepare for the  
9 meeting and then to take time out of your very, very busy  
10 schedules to come to the meeting. Really appreciate that.  
11 It's really a very great service to the people of  
12 California and so thank you so much for that.

13 And then I'd like to thank the staff for all the  
14 work to put this meeting on, to put the reports together,  
15 truly a huge amount of effort. So thank you very much to  
16 the OEHHA staff.

17 And then finally, I just want to wish everyone  
18 very Happy Holidays, and a healthy and safe -- healthy and  
19 safe holidays. Hope you get some time off to relax, and  
20 catch your breath, and wish you all the best, and we'll be  
21 seeing you in the new year.

22 And with that, I'll turn it back over to Ulrike.

23 CHAIRPERSON LUDERER: Thank you, Dr. Zeise. And  
24 I would really like to underscore and thank all the hard  
25 work that the staff put in to putting together this

1 documents for us.

2 (Applause.)

3 CHAIRPERSON LUDERER: It really makes our job  
4 that much easier, much easier. And I'd also like to wish  
5 everyone Happy Holidays to all the staff and also all the  
6 Committee members, and thank everyone for all their hard  
7 work.

8 So everyone take care and the meeting is  
9 adjourned.

10 (Thereupon the Developmental and  
11 Reproductive Toxicant Identification  
12 Committee adjourned)

13

14

15

16

17

18

19

20

21

22

23

24

25

