

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT  
IDENTIFICATION COMMITTEE

JOE SERNA JR.  
CAL/EPA HEADQUARTERS BUILDING  
1001 I STREET  
COASTAL HEARING ROOM  
SACRAMENTO, CALIFORNIA

THURSDAY, NOVEMBER 21, 2013

10:02 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Laurence Baskin, M.D.

Isaac Pessah, Ph.D.

Meredith Rocca, Ph.D., D.A.B.T.

Catherine VandeVoort, Ph.D.

Tracey Woodruff, Ph.D., M.P.H.

STAFF:

Dr. George Alexeeff, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Jim Donald, Chief, Reproductive Toxicology and  
Epidemiology Section

Dr. Mari Golub, Reproductive and Cancer Hazard Assessment  
Branch

Dr. Poorni Iyer, Reproductive and Cancer Hazard Assessment  
Branch

Dr. Ling-Hong Li, Reproductive and Cancer Hazard  
Assessment Branch

Dr. Melanie Marty, Assistant Deputy Director, Scientific  
Affairs Division

Dr. Francisco Moran, Reproductive Toxicology and  
Epidemiology Section

Ms. Cynthia Oshita, Proposition 65 Implementation

A P P E A R A N C E S C O N T I N U E D

STAFF:

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard Assessment Branch

Dr. Lily Wu, Reproductive and Cancer Hazard Assessment Branch

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

ALSO PRESENT:

Dr. Will Faber, Oxo Process Panel of American Chemistry Council, Lyondell Chemical Company

Dr. Arthur Lawyer, Technology Sciences Group

I N D E X

	PAGE
I. WELCOME AND OPENING REMARKS	1
George Alexeeff, Ph.D., Director, Office of Environmental Health Hazard Assessment (OEHHA)	
II. RECONSIDERATION OF LISTING OF CHEMICALS LISTED VIA THE LABOR CODE AS KNOWN TO THE STATE TO CAUSE REPRODUCTIVE TOXICITY	
Introductory comments - Carol Monahan-Cummings, Chief Counsel, and Dr. Jim Donald, Chief of Reproductive Toxicology and Epidemiology Section, OEHHA	4
tert-Amyl methyl ether (TAME)	
- Staff presentation - Dr. Francisco Moran, OEHHA	19
- Public comments*	22
- Committee discussion and decision	22
2-Chloropropionic acid	
- Staff presentation - Dr. Lily Wu, OEHHA	45
- Public comments*	46
- Committee discussion and decision	47
N,N'-Dimethylacetamide (DMAC)	
- Staff presentation - Dr. Mari Golub, OEHHA	65
- Public comments*	70
- Committee discussion and decision	70
2-Ethylhexanoic acid	
- Staff presentation - Dr. Poorni Iyer, OEHHA	75
- Public comments*	79
- Committee discussion and decision	81
Afternoon Session	90
Ethyl-tert-butyl ether (ETBE)	
- Staff presentation - Dr. Francisco Moran, OEHHA	110
- Public comments*	116
- Committee discussion and decision	118
p,p'-Oxybis(benzenesulfonyl hydrazide)	
- Staff presentation - Dr. Jim Donald, OEHHA	129
- Public comments*	129
- Committee discussion and decision	129

I N D E X C O N T I N U E D

	PAGE
1,3,5-Triglycidyl-s-triazinetriene	
- Staff presentation - Dr. Poorni Iyer, OEHHA	133
- Public comments*	138
- Committee discussion and decision	138
4-Vinyl-cyclohexene (VCH) and Vinyl cyclohexene dioxide (VCD)	
- Staff presentation - Dr. Lily Wu, OEHHA	163
- Public comments*	168
- Committee discussion and decision	168
III Committee Discussion on How to Tabulate Data from Epidemiological Studies in Hazard Identification Documents	177
- Public comments*	185
- Discussion	186
IV. STAFF UPDATES	185
- Chemical listings and safe harbor level development(Cynthia Oshita, Proposition 65 Implementation, OEHHA)	
- Proposition 65 litigation (Carol Monahan-Cummings, Chief Counsel, OEHHA)	
V. SUMMARY OF COMMITTEE ACTIONS	204
George Alexeeff, Ph.D., Director, OEHHA	
General public comments should be limited to 5 minutes, which may be changed if time allows and at the discretion of the Chair	207
Adjournment	207
Reporter's Certificate	208

1 P R O C E E D I N G S

2 CHAIRPERSON GOLD: Good morning. It's 10:00  
3 o'clock, so I think it's time to get started. And I'm  
4 going to immediately turn the microphone over to George  
5 Alexeeff.

6 DIRECTOR ALEXEEFF: Good morning. George  
7 Alexeeff, Director of the Office of Environmental -- is it  
8 on? Can you hear me okay?

9 Okay. Clearly, I have to get a little bit  
10 closer. That's better. Okay.

11 I want to welcome you all to the Developmental  
12 and Reproductive Toxicity Committee -- Identification  
13 Committee. And let me give you a couple of -- before I  
14 introduce the members of the Committee, let me just give  
15 the basic information about in the event of some  
16 emergency. So we have emergency exits in the back of the  
17 room. And if there is an emergency, you can exit to the  
18 back. There's also some on the side here, and then  
19 proceed down the steps.

20 Also, if you -- for the restrooms, they're out  
21 the back exits and to the left. So let me introduce to  
22 you the members of the Committee.

23 To my left is Dr. Ellen Gold, the Chair of the --  
24 what we call the DART Committee. And she is professor and  
25 Chair at the Department of Public Health Sciences at UC

1 Davis. And to her left is Dr. Isaac Pessah, who is  
2 professor and Chair of the Department of Molecular  
3 Biosciences at UC Davis. And then to his left is Dr.  
4 Tracey Woodruff, who is professor in the Department of  
5 Obstetricians, Gynecology, and Reproductive Sciences at  
6 the University of California, San Francisco.

7 And to my right is Dr. Meredith Rocca, who is the  
8 Director of Non-Clinical Toxicology at Janssen Alzheimer  
9 Immunotherapy Research and Development. And to her right  
10 is Dr. Laurence Baskin, who is the Chief of Pediatric  
11 Urology, professor of Urology and Pediatrics and surgeon  
12 scientist at University of California in San Francisco.  
13 And to my far right is Dr. Catherine VandeVoort,  
14 professor-in-residence in the California National Primate  
15 Research Center at the University of California, Davis.

16 We have two individuals that are not in  
17 attendance today. Dr. Ulrike Luderer and Dr. Aydin Nazmi.

18 At this time, I'd like to just go ahead and  
19 introduce the staff that are present here as well. You'll  
20 be hearing a lot from the staff today. First, directly in  
21 front of me is Dr. Lauren Zeise. And then to her left, to  
22 my right, is Carol Monahan-Cummings our Chief Counsel. So  
23 she'll be answering any legal questions that the Panel has  
24 or any questions -- legal questions that come up  
25 in -- during the discussion that need to be addressed.

1           And then to her left is Allan Hirsch, the Chief  
2 Deputy Director for the Office of Environmental Health  
3 Hazard Assessment. So going back on this side, we have  
4 Dr. Martha Sandy, and she is the Chief of the  
5 Reproductive, Cancer and Hazard Assessment Branch in  
6 OEHHA. And then to her left is Dr. Poorni Iyer, and then  
7 to her right -- and then to her right is Dr. Mari Golub.  
8 We have -- then we have Dr. Lily Wu, Dr. Francisco Moran,  
9 and Dr. Jim Donald, who is also the Chief of our section  
10 that works on reproductive and developmental toxicity  
11 questions.

12           I just had a couple comments. We have -- I hope  
13 you have the agenda today. We have -- we're going to be  
14 reconsidering the listing of chemicals via the Labor Code  
15 known to the State to cause reproductive toxicity. We'll  
16 also be having some discussion of consideration of  
17 epidemiologic data and how we might want to tabulate it  
18 and presenting it to the Panel, and then some staff  
19 updates.

20           So I will turn this now over to -- did you have  
21 opening remarks or should I turn it over to Carol?

22           Turn it over to Dr. Ellen Gold.

23           CHAIRPERSON GOLD: I really don't. I just want  
24 to thank everybody in advance for all their hard work. I  
25 know the staff worked very hard and I know the Committee

1 had a lot to read and review and think about, so I thank  
2 everybody for their hard work and effort and time. And we  
3 can turn it back over to you.

4           DIRECTOR ALEXEEFF: Okay. Oh, that reminds me,  
5 yes. So I did want to thank all the members of the public  
6 in attendance. And also we are broadcasting this via  
7 webinar. So it's very important that if either members of  
8 the Panel or members of the public or staff are providing  
9 some information into the record that they speak into the  
10 microphones clearly, so it can -- other people out in  
11 webinarland can hear it. And it's also being recorded  
12 over here up at the front, just to remind everybody of  
13 that.

14           So I think right now what I'd like to do is turn  
15 it over to Carol Monahan-Cummings. And she'll be giving  
16 us some information regarding the first item and other  
17 sort of housekeeping kinds of issues that she may provide.

18           CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. Good  
19 morning. Can you hear me all right?

20           Okay. Before I get into the details of what  
21 we're going to be doing today, I just wanted to give you  
22 my usual reminders for this Committee. I know you don't  
23 meet all that frequently, except for this year. And so  
24 just a quick reminder I sent out a note to you all a  
25 couple weeks ago about ex parte communications, which

1 means any communications you may have that are not in this  
2 public forum with third parties. And just to remind you  
3 that if you had any of those discussions that are related  
4 to the substance of what we're talking about today, my  
5 recommendation to you is to disclose those on the record  
6 and just give the general content of what the discussion  
7 was, who it was with. That would include media contacts  
8 or other interested parties that may have contacted you.

9           And then just to give you just some of the  
10 general guidance for this Committee. This is a scientific  
11 committee, and we'll go into, in just a minute, the exact  
12 wording of what your charge is, but you're actually  
13 applying what's called the "Clearly Shown Standard" to the  
14 scientific evidence that you're going to be hearing today.  
15 That is not a legal standard. Although, it can have a  
16 legal effect once you make a decision. It is a scientific  
17 decision.

18           And because of that, you don't need to worry  
19 about things like if you've ever been on jury duty, you  
20 might have gotten an instruction about beyond a reasonable  
21 doubt standard or preponderance of the evidence or  
22 something like that. And those are not the standards  
23 we're using today. What we're using is the language in  
24 the statute, which is really -- it's a scientific finding.  
25 You were appointed to this Committee by the Governor,

1 because you're scientific experts. And so you don't need  
2 to worry about the law, and that's me. And all the  
3 lawyers back there will take care of it.

4           Related to that, you are looking at the weight of  
5 the evidence, the scientific evidence, that you're being  
6 presented. In your binder, you've got the tab for the  
7 guidance document that was created by prior members of  
8 this Committee that goes into detail on what the weight of  
9 evidence and issues that you might be concerned about how  
10 to approach those.

11           I want to remind you that you can and should list  
12 chemicals if there's sufficient animal evidence of  
13 reproductive effects. And there need not be any human  
14 data available in order for you to list. You don't have  
15 to find a chemical isn't -- is a human reproductive  
16 toxicant. Also a couple issues that frequently come up in  
17 the public comments are the effect of a warning like -- or  
18 the effect of a listing like we're going to have to have a  
19 warning of some sort or we're not going to be able to use  
20 this chemical anymore, or it's going to affect market  
21 share, that sort of thing. And those are not issues that  
22 you need to be concerned about at this meeting.

23           Also, at the end of each of the presentations,  
24 you're going to be asked to vote on whether or not the  
25 chemical has been clearly shown to cause either male

1 reproductive toxicity, female, or developmental toxicity  
2 or all the above. The quorum today is going to be five  
3 members, and so five members have to vote in the  
4 affirmative in order to take an action to keep these  
5 chemicals on the list.

6           You have the option to vote or not to vote. You  
7 can recuse yourself, which has the effect of a no vote,  
8 and -- but you also have the opportunity to say that you  
9 aren't ready to vote. You're not required to make a  
10 decision today. So if there's information that you feel  
11 like you need or you just need to think about it some  
12 more, that's entirely fine. Just let the Chair know that  
13 when you get to a point of needing to vote.

14           Any questions on that?

15           Yes, Dr. Woodruff.

16           COMMITTEE MEMBER WOODRUFF: In the communication  
17 piece, you mean that's related to interested parties,  
18 right? Parties that have an interest in the outcome?

19           CHIEF COUNSEL MONAHAN-CUMMINGS: Yes. In terms  
20 of your communications within the Committee, the concern  
21 there would be if there was a discussion between a quorum  
22 of the Committee, which -- or a majority of the Committee,  
23 and that would be five of the individuals on the  
24 Committee, either discussing together our in series about  
25 something that is significant in front of the Committee.

1           So those obviously need to be disclosed, if there  
2 are those kind of discussions. But if one or two of you  
3 talked about something -- you know, you talked to the  
4 Chair about how to present the information today, for  
5 example, that's entirely fine.

6           Does that answer the question?

7           COMMITTEE MEMBER WOODRUFF: (Nods head.)

8           CHIEF COUNSEL MONAHAN-CUMMINGS: You look like  
9 you had another one.

10          COMMITTEE MEMBER WOODRUFF: Well, what if we had  
11 had a -- like I have a post-doc that works with me and I  
12 asked her some questions about the papers. She's not an  
13 interested party though.

14          CHIEF COUNSEL MONAHAN-CUMMINGS: No, that's fine.

15          COMMITTEE MEMBER WOODRUFF: Okay. All right.

16          CHIEF COUNSEL MONAHAN-CUMMINGS: But you just  
17 disclosed it, so it's fine anyway.

18          COMMITTEE MEMBER WOODRUFF: I just disclosed it,  
19 right, so there we go.

20          CHIEF COUNSEL MONAHAN-CUMMINGS: What's her name.

21          COMMITTEE MEMBER WOODRUFF: Her name is a Hanna  
22 Vesterinen. I probably just pronounced her name  
23 incorrectly on the cast, so I apologize.

24          CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. Any other  
25 questions?

1 All right. Cindy, if you could put the slides  
2 up.

3 (Thereupon an overhead presentation was  
4 presented as follows.)

5 CHIEF COUNSEL MONAHAN-CUMMINGS: As everybody has  
6 mentioned, I'm Carol Monahan-Cummings, the Chief Counsel  
7 for the Office of Environmental Health Hazard Assessment,  
8 and I'm also counsel for this Committee. I'm just going  
9 to go over kind of the legal posture of what we're doing  
10 today. It's a little bit unusual for this Committee,  
11 particularly related to the number of chemicals that are  
12 being presented to you for reconsideration.

13 So if you could go to the next slide.

14 --o0o--

15 CHIEF COUNSEL MONAHAN-CUMMINGS: The outline for  
16 my discussion today is that we're going to talk about the  
17 proposed change of basis for certain chemical that are  
18 already listed under Prop 65. Some of them have been  
19 listed since the very early days in the eighties.

20 We'll give you a legal background on why these  
21 are being presented to you today, talk about what our next  
22 steps are, and then I'll answer any questions. I'm happy  
23 to answer questions as we go along, but it may be that the  
24 slides will cover that. And so if you want to wait till  
25 the end, that's fine too.

1 Next slide.

2 --o0o--

3 CHIEF COUNSEL MONAHAN-CUMMINGS: So what we're  
4 talking about today, as I mentioned, is a change of basis  
5 for certain chemicals that have been listed under Prop 65.  
6 These -- we are looking at a change from an administrative  
7 listing, which was based on some provisions of the Labor  
8 Code, California Labor Code, that I'll talk about in a  
9 minute.

10 And so what happens when we have administrative  
11 listings that -- where there's been a change in that -- in  
12 the basis for that listing, we refer those chemicals to  
13 this Committee for consideration of whether to keep them  
14 on the list.

15 We do that in terms of authoritative body  
16 listings, Labor Code listings, and formally required  
17 listings. If you recall, a few months back when we did  
18 the kind of general discussion of how chemicals get  
19 listed, we went over those -- there's four bases for  
20 listing.

21 So next slide.

22 --o0o--

23 CHIEF COUNSEL MONAHAN-CUMMINGS: We have I  
24 believe it's eight -- is it nine chemicals or eight today?

25 DR. ZEISE: Nine.

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Nine.

2 Okay. So we've got nine chemicals that we are  
3 going to present to you today, which we'll go over in  
4 detail. But what we're -- what we're doing is we went  
5 through and looked at chemicals that had been listed based  
6 on the American College of -- Conference of Governmental  
7 Industrial Hygienists. We call them the ACGIH. It's  
8 easier to say. And they -- they establish threshold limit  
9 values for chemicals that are present in the workplace.

10 In the past, we were able to list those chemicals  
11 based on what we call the Labor Code provision of Prop 65,  
12 but we have had to reconsider those because of some  
13 changes at the federal level. So we have looked at other  
14 basis for administratively listing some of those chemicals  
15 that we identified. And so this slide just gives you an  
16 idea of what we're planning to do with some that aren't  
17 being presented to you today.

18 So in the first box, we have four chemicals that  
19 we're proposing for listing -- actually, it looks like  
20 three -- that are based on findings from the U.S. EPA.,  
21 and also on NIOSH, which is a -- kind of a subdivision  
22 scientific arm of OSHA. And so we're proposing those  
23 listings under those different authorities and formally  
24 required, which we don't use all that often anymore, but  
25 it's -- we're proposing the listing of the chemicals in

1 the second box based on requirements -- formal  
2 requirements by OSHA for specific warning requirements for  
3 those chemicals.

4 And actually the notices on these are not  
5 actually going to be the formally required ones that are  
6 being posted tomorrow. So you got advanced news.

7 (Laughter.)

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. Next  
9 slide.

10 --o0o--

11 CHIEF COUNSEL MONAHAN-CUMMINGS: So the chemicals  
12 that are being presented to you today are in the left-hand  
13 box that's highlighted there. And then there's also we're  
14 going to have a number of them for a future meeting, which  
15 we're thinking about having in the spring of next year  
16 that you'll consider under the same standard that you're  
17 doing today, and generally under the same process, unless  
18 we determine that something -- we need to improve the  
19 process.

20 So next slide.

21 --o0o--

22 CHIEF COUNSEL MONAHAN-CUMMINGS: So the chemicals  
23 that we're considering today and we'll consider next year  
24 are the way this is going to work is the chemicals will  
25 only remain on the list if, in your judgment, they are --

1 have been clearly shown through scientifically valid  
2 testing, according to generally accepted principles to  
3 cause reproductive toxicity. So it's the same standard  
4 that you use when you do a de novo review of the  
5 scientific evidence for a chemical listing. It's just  
6 that what's the difference here is that these chemicals  
7 are already on the list.

8           That shouldn't make much of a difference to you  
9 at this point, because how they were listed really doesn't  
10 matter, because you're reconsidering that listing and  
11 determining whether they should stay on the list.

12           Okay. You can skip the next slide and go two.

13                               --o0o--

14           CHIEF COUNSEL MONAHAN-CUMMINGS: All right. So  
15 the background here, as I mentioned, is that there's a  
16 provision in the statute that incorporates by reference  
17 what we call the Labor Code, which is California Labor  
18 Code subsections that are related to identifying chemicals  
19 that are known to cause reproductive toxicity. That  
20 provision actually incorporates by reference a federal set  
21 of regulations that are developed by federal OSHA. And  
22 it's called the Hazard Communication Standard. And we're  
23 going to call that the HCS.

24           You may be familiar with that if you do work in  
25 the occupational exposure area. The federal standard and





1 mentioned, that's a de novo review of the data today.

2 So next slide.

3 --o0o--

4 CHIEF COUNSEL MONAHAN-CUMMINGS: So as I  
5 mentioned, what you need to do today is decide whether a  
6 chemical does or does not meet your own criteria for  
7 listing or whether you want to defer that decision to a  
8 later meeting. We have a number of chemicals we're  
9 reviewing today, and we -- you know, if you feel like  
10 there's information that you need that we haven't  
11 provided, we're happy to do that, or if you just need to  
12 think about it a little bit more, that's fine. So don't  
13 feel compelled to make a decision today.

14 And we will be presenting the other set of  
15 chemicals to you in a meeting early in 2014.

16 So any questions?

17 Yes, Dr. Pessah.

18 COMMITTEE MEMBER PESSAH: How do we consider  
19 conflicting information or information that really is  
20 contradictory in our deliberation or our reading of the  
21 information that's available to us.

22 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, you should  
23 look at the whole body of information that you were  
24 provided. And some of it may conflict with other  
25 materials that you have. And that's why you discuss and

1 deliberate. You have to decide whether or not the weight  
2 of the evidence supports a listing or not.

3 Does that make sense?

4 And one of the ways you can do that is to use  
5 your -- the process that the Committee had developed to  
6 help you answer questions about how to approach data.

7 Any other questions?

8 All right. I think the next person up is Dr.  
9 Donald.

10 (Thereupon an overhead presentation was  
11 presented as follows.)

12 DR. DONALD: Thank you, Carol. My name is Jim  
13 Donald, I'm Chief of the Reproductive Toxicology and  
14 Epidemiology Section within OEHHA.

15 --o0o--

16 DR. DONALD: As Carol has already thoroughly  
17 covered the charge with the Committee today is your usual  
18 charge to determine whether a chemical has been clearly  
19 shown through scientifically valid testing according to  
20 generally accepted principles to cause reproductive  
21 toxicity. So consistent with that charge, we, as usual,  
22 attempted to identify and retrieve all of the relevant --  
23 all of the data relevant to the reproductive and  
24 developmental toxicity of these chemicals. And we've  
25 provided that data to the Committee in the form of summary

1 tables, and also in the form of the original study reports  
2 and published papers whenever they were available to us.

3 And again, following our usual procedure, we made  
4 that material available to the public, so that if we  
5 missed anything the other interested parties were aware  
6 of, they could also provide those to the Committee.

7 --o0o--

8 DR. DONALD: So our procedure for identifying  
9 those data was to have literature searches conducted  
10 covering the three major endpoints of reproductive  
11 toxicity, which are, of course, developmental, male  
12 reproductive and female reproductive toxicity.

13 We had those searches conducted by professional  
14 library staff through a contract with the Public Health  
15 Library at the University of California in Berkeley. And  
16 the search protocol that was followed by those staff is  
17 described in the hazard identification document we've  
18 provided to you as Appendix A.

19 Once the searches were completed, OEHHA staff  
20 reviewed the entire results of the searches and identified  
21 studies which appear to provide relevant data. And only  
22 those studies were provided to the Committee. Our staff  
23 will, as usual, present brief summaries of the data for  
24 each chemical. And due to the number of chemicals under  
25 consideration today, we will make the summaries very

1 brief. But, of course, we'll be happy to answer any  
2 questions you have on the data.

3 And for simplicity, we will present the  
4 chemicals -- we'll present the summaries on the chemicals  
5 in the same order as the chemicals appear in the HID.

6 --o0o--

7 DR. DONALD: And the first presenter will be Dr.  
8 Francisco Moran.

9 DR. MORAN: Thank you. Good morning. I will  
10 present first the data available for tert-amyl methyl  
11 ether, abbreviated as TAME.

12 --o0o--

13 DR. MORAN: A comprehensive literature research  
14 resulted in three references with data on the potential  
15 reproductive toxicity of TAME, one of which focuses on  
16 developmental toxicity resulting from prenatal exposure in  
17 two species of rodents; one multi-generational study,  
18 which investigated both reproductive and developmental  
19 toxicity; and one study of female reproductive toxicity.

20 --o0o--

21 DR. MORAN: Developmental toxicity studies by  
22 Welsch et al. were conducted in 11-weeks old CD mice and  
23 Sprague-Dawley rats. Pregnant females were exposed by  
24 inhalation to filtered fresh air or TAME at 250, 1,500, or  
25 3,500 ppm per six hours per day for 11 days in mice or 14

1 days in rats starting on gestational day six. Dams were  
2 sacrificed one day after last exposure and fetuses  
3 dissected for physical examination

4 All effects were observed at 3,500 ppm and they  
5 are summarized as follows:

6 There were reduced maternal body weight in mice  
7 and rats; reduction in fetal body weight in mice and rats;  
8 increased incidence of fetal death in mice, but not in  
9 rats; and, increased incidence of skeletal malformations  
10 in mice.

11 --o0o--

12 DR. MORAN: In a two generation reproductive  
13 study by Tyl, 35 days old virgin Sprague-Dawley rats of  
14 both genders were treated by inhalation with 250, 1,500,  
15 or 3,000 ppm to filtered air for six hours a day per five  
16 days a week, during the pre-breeding exposure period,  
17 equal 10 weeks, and the post-mating holding period for  
18 males.

19 During mating, gestation and lactation of F1 and  
20 F2 litters, exposures were six hours a day for seven days  
21 a week. The endpoints considered were:

22 For dam toxicity, the survival, organ, and body  
23 weight, and feed consumption; and for the offsprings, the  
24 fetal survival, body weight, vaginal patency and preputial  
25 separation for the F1, and anogenital distance at birth

1 for F2. Reproductive organs from animals suspected of  
2 reduced fertility were subjected to a histopathological  
3 evaluation.

4 The results are summarized as follows:

5 Reduced body weight of dams during lactation at  
6 3,000 ppm; increased percentage of abnormal sperm of 3,000  
7 ppm for F0; reduced body weight in F1 and F2 at 1,500 ppm;  
8 decreased survival of F2 at 3,000 ppm; reduced estrous  
9 cycle length at 1,500 ppm; and, increased gestational  
10 length at 1,500 ppms.

11 --o0o--

12 DR. MORAN: In a study of female reproductive  
13 toxicity by Berger and Horner, that consists of an in vivo  
14 treatment of females with an in vitro fertilization  
15 assessment, female Sprague-Dawley rats were exposed to 0  
16 or 0.3 percent TAME in drinking water for two weeks prior  
17 to oocyte harvest. Exposed females were induced to  
18 ovulate and the ovocytes collected and incubated with  
19 diluted sperm from untreated males for 20 hours.

20 The results were a reduced percentage of oocytes  
21 fertilized and nonsignificant decrease of penetrated sperm  
22 per oocyte.

23 --o0o--

24 DR. MORAN: That concludes this presentation.

25 CHAIRPERSON GOLD: So the organization today that

1 we decided upon is to have staff presentations for each  
2 individual chemical, and then invite public commentary and  
3 then there will be Committee commentary.

4           So, at this time, we invite any public comments  
5 on this chemical.

6           Cynthia, have you been informed of any?

7           MS. OSHITA: (Shakes head.)

8           CHAIRPERSON GOLD: Anyone want to make any?  
9           Hearing, seeing none.

10           So it's now time to turn it over to the Committee  
11 for discussion, and I've asked the Committee just to give  
12 sort of a summary of their impression of all of the  
13 studies, because a great deal of the detail has been  
14 provided by the staff. But if you feel that you need the  
15 detail to explain your sort of position or feeling, that's  
16 fine.

17           So I'll turn it over to Dr. VandeVoort.

18           COMMITTEE MEMBER VANDEVOORT: Thank you. So I  
19 went through and read these studies. And I think in the  
20 first study, by Welsch et al. in 2003 that was performed  
21 in mice, the CD-1 mice, I guess I'm a little concerned  
22 about the skeletal effects, because they also saw some of  
23 these effects in the control groups, not all, but some.

24           And in the misaligned sternebrae was also present  
25 in the control group as well. And the effects in the

1 study I'm just really wondering if they're associated more  
2 with systemic toxicity of the dam rather than actual  
3 specific toxicity in development.

4           On the other hand, when you get to the rat model,  
5 I think the kinds of effects that they're seeing probably,  
6 I think, are more directed, and I think more significant  
7 in terms of the offspring and in the Tyl study in 2003.

8           So I'm sort of -- sort of a mixed feeling, but I  
9 think there's so little evidence about developmental  
10 toxicity in this compound, I guess I'm wondering how much  
11 weight do you need for weight of the evidence when you  
12 only have two studies that really look at development?

13           And the third study performed by Berger and  
14 Horner, where they're looking only at fertilization, I'm  
15 very concerned that this slight reduction in fertilization  
16 without any real other component isn't very compelling for  
17 me. So I'd like to hear discussion from other Panel  
18 members about -- you know, we basically have one study  
19 here showing some possible developmental effects in the  
20 rat.

21           CHAIRPERSON GOLD: Okay. Thank you. So I'm  
22 going to open it up to the Panel for comments now, and  
23 again reminding you that what eventually you have to vote  
24 on is the clearly shown criterion. So weighing what you  
25 know about various papers, you'll choose and select your

1 vote.

2 So Dr. Woodruff has a comment.

3 COMMITTEE MEMBER WOODRUFF: Yeah. I wanted to --  
4 well, I have two comments. My first comment is that this  
5 question has come up twice now in our -- during the start  
6 of the meeting about the weight of evidence. And so I  
7 have -- I had that question too when I was reading through  
8 these studies, and I have gone back to look at the -- at  
9 least the current definition that is from 1993 for known  
10 to the state to cause reproductive toxicity. And actually  
11 I just ask a question, are these based on somewhat on what  
12 some guidelines that EPA has for cancer?

13 Because I would just say that there -- it does  
14 allow data on a single species from a well conducted  
15 developmental or reproductive -- reproduction study may be  
16 sufficient to classify an agent as a reproductive  
17 toxicant.

18 DR. DONALD: The guidelines to which you're  
19 referring that were adopted by the Committee in '93 were  
20 largely developed by OEHHA, under the Committee's  
21 guidance, and were very much based on U.S. EPA's  
22 guidelines for reproductive and developmental toxicity  
23 risk assessment, not their cancer guidelines.

24 COMMITTEE MEMBER WOODRUFF: Right. So my  
25 conclusion is if we -- if the study is reasonably well

1 conducted and it's -- and it only has to be on a single  
2 species. I think you mentioned that that's in the study  
3 is a rat. So that was -- my thought was -- not my  
4 thought. My conclusion is that that is -- it is possible  
5 for us to reach a decision based on a well conducted study  
6 that finds evidence of reproduction or developmental  
7 effects. Though, of course, we have more confidence if  
8 there's more studies, so...

9 CHAIRPERSON GOLD: Right. I think the weight of  
10 the evidence argument also as you read through it says, if  
11 you find it more than one species or in more than one  
12 study than that strengthens the weight of the evidence,  
13 but if you have one really well conducted study, then that  
14 may be sufficient, I think is the wording.

15 Dr. Baskin.

16 COMMITTEE MEMBER BASKIN: Yes. Larry Baskin. So  
17 I think this may be a recurrent theme when we look at a  
18 number of the other chemicals, because if you have an N of  
19 2, two papers and one paper didn't find any toxicity and  
20 another paper did, but if it's a well done study and you  
21 believe the methods are credible and the outcome is  
22 worrisome, then that's all the evidence we have. And then  
23 it makes me wonder why weren't there other studies to  
24 refute it if there was a question that that study wasn't  
25 done well.

1           So I think we're stuck with this evidence we have  
2 and making a decision based on that. And I think that's  
3 going to come up with a number of the other chemicals.

4           CHAIRPERSON GOLD: Thank you.

5           Dr. Rocca, do you have a comment?

6           COMMITTEE MEMBER ROCCA: Yes. Meredith Rocca.

7           It appears to me that both of these studies were  
8 certainly well run. But what we're seeing, certainly in  
9 the first one, is very severe maternal toxicity. And I  
10 think that many of the findings could be based upon that.  
11 As Dr. VandeVoort said, we're looking more at systemic  
12 toxicity in the mouse.

13           In the rat study, this is a very interesting  
14 study designed in which animals are treated for three  
15 different generations. And what they're seeing is nothing  
16 consistent among those generations, except that there is  
17 overt parental toxicity in the first two generations.  
18 They have reduced weight. They're ataxic. They're not  
19 eating as much. And the paper goes into a discussion of  
20 what happens if animals are feed restricted to explain  
21 some of the decreases perhaps in F2 survival.

22           The other endpoint, such as reduced estrous cycle  
23 length is only by 0.3 days. And the percent of abnormal  
24 sperm is also one of those that is a very low number. All  
25 of those are well within the historical control, and I

1 consider them to be within the normal area of variability.  
2 Therefore, I would conclude that we do not have certain  
3 evidence based upon these studies.

4 CHAIRPERSON GOLD: Thank you.

5 Dr. VandeVoort, do you want to comment anymore  
6 about the quality of the studies that would help in making  
7 judgments, both I think for you and the Panel as a whole?

8 COMMITTEE MEMBER VANDEVOORT: Well, I agree with  
9 the comment just made and the comments made by the other  
10 Panel members regarding the quality. I think it was a  
11 very -- they were well designed studies. They had doses  
12 that ranged from, you know, the appropriate control zero  
13 dose up to very high levels, where clearly maternal  
14 toxicity was being affected. And so -- and those -- I  
15 went back through the guidelines that we were given about  
16 the quality of studies and what they should include. And  
17 so in that regard, I think they were high quality studies.

18 But I also agree that in the mouse I think it  
19 certainly appears to be maternal toxicity, systemic  
20 toxicity here. And in these other studies, I agree, the  
21 effects that were seen could be random chance. You know,  
22 that there wasn't anything really consistent through the  
23 entire treatment group and the generations. And so I'm --  
24 and that's why I'm asking, you know, even there may be  
25 some effect in the rat, it is not clear in this study and

1 it's a well done study.

2 CHAIRPERSON GOLD: Dr. Woodruff.

3 COMMITTEE MEMBER WOODRUFF: Yeah. I want to  
4 discuss a little bit more about this issue that has come  
5 up several times, and it comes up in these tables or in  
6 the summaries of the information. And that is the issue  
7 of effects on the pregnant animal and the implications for  
8 developmental toxicity.

9 So if I'm thinking of a human, and we have had  
10 this experience working with air pollution studies and  
11 prenatal exposures to air pollution, and we see a  
12 relationship between prenatal exposures to air pollution  
13 and adverse pregnancy outcomes, for example, pre-term  
14 birth delivery and low birth weight, but we aren't  
15 necessarily sure of the mechanism of action of which it  
16 occurs. One may be direct effects on fetal development or  
17 placental adherence et cetera or it could be effects  
18 maternally mediated.

19 So I think -- I went back, because I've been  
20 thinking about this issue a little bit more because I went  
21 back into the guidelines. And I just -- there is a lot of  
22 focus on this either systemic or maternal toxicity, but  
23 I'm not -- I haven't heard a really compelling reason why  
24 if it affects the pregnant animal, why that would not be a  
25 developmental effect?

1           CHAIRPERSON GOLD: Is that something the staff  
2 wants to address, because it's come up before?

3           DR. DONALD: Yeah. This is, of course, a  
4 perennial question in developmental toxicology. Bearing  
5 in mind that the Committee is charged to observe generally  
6 accepted scientific principles, one consideration is what  
7 is the generally accepted principle? And one thing that  
8 might be considered reflective of that is the position  
9 that U.S. EPA has taken in their guidelines that were  
10 largely the basis for the Committee's guidelines.

11           And EPA's position is that if developmental  
12 toxicity occurs in the absence of maternal toxicity, then  
13 it's unquestionably developmental toxicity. But the more  
14 common situation is that developmental toxicity co-occurs  
15 with some degree of maternal toxicity. And they have  
16 taken the position that if developmental toxicity  
17 co-occurs with minimal maternal toxicity, then it should  
18 be interpreted as developmental toxicity.

19           They take the position that if there is excessive  
20 maternal toxicity, that it's difficult to interpret  
21 whether or not developmental toxicity has occurred. And  
22 somewhat unhelpfully, they have not defined what the  
23 difference between minimal and excessive maternal toxicity  
24 is.

25           So there is obviously a role for scientific

1 judgment in this instance, but it is generally recognized  
2 that just because there is some degree of maternal  
3 toxicity, that is not in itself a basis for discounting  
4 developmental toxicity.

5 COMMITTEE MEMBER WOODRUFF: Right, because if I  
6 think about -- if I have a pregnant woman and she's at  
7 UCSF and she has gestational diabetes, right, I'm  
8 concerned about how that affects the fetus. And that is  
9 also -- or prenatal -- pre-eclampsia, which can affect her  
10 as well as the fetus.

11 So I guess I'm not -- I think we -- I do not want  
12 to discount maternal toxicity as not a contributing factor  
13 to developmental toxicity, because clearly the health of  
14 the pregnant animal or human can adversely influence the  
15 fetus.

16 DR. DONALD: Yes. And another overlapping area  
17 of concern is the relationship between mechanisms in the  
18 dam. I think most people would accept EPA's concern about  
19 excessive maternal toxicity is reflective of concern that  
20 if a dam is severely impacted by the chemical, then  
21 that -- there maybe some sort of cascade of effects onto  
22 the developing fetus that may not be appropriate to  
23 interpret as developmental toxicity. In the most extreme  
24 case, if a pregnant animal loses all the fetuses -- if all  
25 the fetuses died, and there's no indication of maternal

1 toxicity in the maternal animal whatsoever, it's pretty  
2 clear that's developmental toxicity.

3           On the other hand, if the dam is moribund or  
4 dies, the fetuses are going to die too. The developmental  
5 outcome is identical, but the cause of that outcome is  
6 quite different. So I think what Dr. -- one aspect that  
7 Dr. Woodruff is raising is if you can identify mechanisms  
8 in the dam, effects in the dam that are directly resulting  
9 in developmental toxicity effects on the female  
10 reproductive system, then that may not be a basis for  
11 discounting developmental toxicity.

12           If you have extreme systemic toxicity in the dam  
13 and are seeing developmental toxicity associated with  
14 that, then it becomes a much more difficult decision as to  
15 whether you're going to identify that as a developmental  
16 effect.

17           CHAIRPERSON GOLD: Thank you. Could you also  
18 saying something about the impact of reduced maternal  
19 weight and how that might affect developmental toxicity or  
20 how we should look at that?

21           DR. DONALD: That's an area that we have looked  
22 into. And as with many aspects of reproduction and  
23 development, there is no absolutely clear cut answer. Our  
24 own review of that area indicates that reduction in  
25 maternal body weight gain during pregnancy is not

1 necessarily associated with developmental -- adverse  
2 developmental outcomes.

3           You can see a reduction -- it depends. It varies  
4 with species, but reductions of 15, 20 perhaps 25 percent  
5 appear generally not to be associated with adverse  
6 developmental outcome. But that's not hard and fast. It  
7 depends on the developmental effect. It depends on, as I  
8 said, on the species. Again, it's -- there's a -- it's  
9 essentially a matter of scientific judgment. The  
10 generally accepted principle is that just because there's  
11 some decrease in maternal body weight gain during  
12 pregnancy, that does doesn't mean that the developmental  
13 effects should be discounted.

14           In fact, U.S. EPA's definition of minimal  
15 maternal toxicity encompasses not only a reduction in body  
16 weight gain during pregnancy, but actually encompasses a  
17 reduction in body weight overall during pregnancy.

18           CHAIRPERSON GOLD: Thanks. I just want to say  
19 one thing, then I'll go to you. So I think what -- if I  
20 could summarize. It's seems pretty clear about how to  
21 make judgments at the two extremes when there's no  
22 maternal toxicity, but there is a fetal effect, and when  
23 there is significant maternal toxicity, so that everybody  
24 dies, for example. So we're dealing with the gray area in  
25 between.

1           And that's why this last piece of information is  
2 helpful, so -- but I think judgments have to be made by  
3 the Panel as to, you know, what degree of toxicity is  
4 likely -- and the possible mechanism to have an effect --  
5 an adverse effect on the fetus, and what very may well not  
6 be enough in the maternal toxicity, if we can call it  
7 that, to have an effect. So we're in the gray area where  
8 we're -- I think the decision making is a little more  
9 difficult.

10           DR. DONALD: Yes, I think that's a very fair  
11 summary.

12           CHAIRPERSON GOLD: Okay. So Dr. Pessah.

13           COMMITTEE MEMBER PESSAH: So in terms of  
14 providing some judgment, there are three issues that  
15 really need to be addressed in my mind. One is the  
16 concentration, which is 3,000 ppm, which, from my  
17 perspective, is relatively high.

18           The second issue, I don't know, but what was the  
19 maternal weight gain loss, the impairment, and was it only  
20 during lactation? Because basically in the table we were  
21 presented, it mentioned lactation not during gestation.

22           And the third, were there any other maternal  
23 signs that would indicate that there's some probable  
24 mechanism or any other evidence that this compound has a  
25 mechanism at these levels?

1           CHAIRPERSON GOLD: Dr. VandeVoort, would you care  
2 to answer?

3           COMMITTEE MEMBER VANDEVOORT: Yeah. I'm going to  
4 have to look up about the exact change in maternal weight  
5 gain, because I've read too many papers in the past couple  
6 of weeks to possibly recall that. I really apologize.

7           As far as the other effects, 3,000 parts per  
8 million seems very high, especially when you consider it  
9 was inhaled at that dose for six hours a day, five days a  
10 week, and, you know, it was also in the F1 and F2 litters  
11 were also exposed. Here in the table, it says during  
12 mating, gestation, and lactation of F1 and F2 litters  
13 exposures were six hours a day, seven days per week. And  
14 so this actually went up. And so it's a huge level of  
15 exposure.

16           The effects that were seen in the offspring are  
17 mainly in the 3,000 parts per million group. And it's  
18 mainly this decreased body weight during lactation, and  
19 then also the -- I think it was in the female group that  
20 there was -- the females only in 1,500.

21           But again, nothing that would suggest some sort  
22 of specific mechanism or a specific effect. And the fact  
23 that the dam body weight was reduced in the groups where  
24 the offspring body weight was reduced, I think it gives me  
25 more of questions of is it a specific developmental

1 effect?

2 COMMITTEE MEMBER WOODRUFF: But in the -- not the  
3 Tyl study, the Welsch study, there are malformations,  
4 right? Oh, it's not on. There it is. Sorry.

5 COMMITTEE MEMBER VANDEVOORT: Are you asking me?  
6 Yes.

7 COMMITTEE MEMBER WOODRUFF: We'll, I'm looking at  
8 it, the paper.

9 COMMITTEE MEMBER VANDEVOORT: But if you look at  
10 these skeletal malformations, some of them also appeared  
11 in the control group. And I don't work with the CD-1  
12 mouse model, and I don't know how often these skeletal  
13 malformations can show up in this model. But what kind of  
14 concerns is me is that when you see something that also  
15 appears in the control, how much weight can you put on  
16 that in the treated groups?

17 CHAIRPERSON GOLD: Just to deal with that, I  
18 mean, that's actually why you have a control group,  
19 because you want to know if it's significantly greater in  
20 the treated groups at different dosages.

21 COMMITTEE MEMBER VANDEVOORT: Right.

22 CHAIRPERSON GOLD: And I interpreted their  
23 statistical significance to mean compared to the control.

24 COMMITTEE MEMBER VANDEVOORT: Now, in that mouse  
25 group, they did -- you know, they say that there's a

1 cleft -- 18 percent of litters at 1,500 parts per million  
2 had cleft palate, but it was non-significant, which has to  
3 mean that there was cleft -- you know, there's cleft  
4 palate in the controls as well. And so this really makes  
5 it difficult to interpret the study and what is the  
6 underlying rate of these things in the CD-1 mouse model  
7 versus the -- you know, the treatment groups?

8 CHAIRPERSON GOLD: Dr. Woodruff.

9 COMMITTEE MEMBER WOODRUFF: You're looking at --  
10 I'm just -- this is on Table 2?

11 CHAIRPERSON GOLD: Is this the Welsch study that  
12 we're talking about?

13 COMMITTEE MEMBER VANDEVOORT: Yes.

14 COMMITTEE MEMBER WOODRUFF: Oh, you're talking  
15 about -- yes.

16 COMMITTEE MEMBER VANDEVOORT: I thought you  
17 wanted to discuss the Welsch study?

18 COMMITTEE MEMBER WOODRUFF: Yeah, that's right.  
19 I was looking at Table 2 with the one that you were  
20 talking about with the clefts and the malformations. I  
21 mean, but this is the one that also has -- where's --

22 CHAIRPERSON GOLD: Can I just say while you're  
23 looking at that, that I was looking at the Tyl study with  
24 regard to the weight question. And the figure there, I  
25 believe it's Figure 2, for maternal and paternal, it looks

1 like about 50 -- about 50 grams almost at every time  
2 point. And then for the F1 generation, it looks to be  
3 greater, like, I'm estimating, but about 100 grams. So  
4 that's the magnitude of the difference. Somebody asked  
5 that.

6 So Dr. Woodruff, did you have something else you  
7 wanted to say?

8 COMMITTEE MEMBER WOODRUFF: No.

9 CHAIRPERSON GOLD: No?

10 COMMITTEE MEMBER WOODRUFF: No, no.

11 CHAIRPERSON GOLD: Are we still on the skeletal  
12 malformations question -- on the malformations question?

13 COMMITTEE MEMBER WOODRUFF: Well, I just have to  
14 say I'm like looking in this paper for the rats that's why  
15 I got confused, so -- because I see the table with the  
16 mice, but you mentioned the rat model, right?

17 COMMITTEE MEMBER VANDEVOORT: Yes. The Tyl study  
18 is the rat model.

19 COMMITTEE MEMBER WOODRUFF: I'm sorry. Okay,  
20 yes.

21 CHAIRPERSON GOLD: Dr. Pessah, did you have  
22 something to say?

23 COMMITTEE MEMBER PESSAH: Just the one thing that  
24 everybody is certainly -- because it's not necessarily  
25 developmental, but it could influence development based on

1 our knowledge is, if you take a look at the liver to body  
2 weight ratio.

3 COMMITTEE MEMBER WOODRUFF: Which paper?

4 COMMITTEE MEMBER PESSAH: This is the Welsch 2003  
5 in the mice. Obviously, something is going on. So  
6 there's a drop in maternal body weight of 27 percent.  
7 This is again at the high dose. That's statistically  
8 significant at P 0.01. And there's an increased liver  
9 weight at both the 1,500 and the 3,500 ppm.

10 CHAIRPERSON GOLD: Dr. Rocca.

11 COMMITTEE MEMBER ROCCA: It's a very common  
12 phenomenon in rats that you will have an increase in liver  
13 weight if your drug is metabolized via the liver. There  
14 will be an increase in P450s and liver weight. So just  
15 the increase itself is not considered a matter of  
16 toxicity. The fact that it increased and their body  
17 weight still went down, you almost have to subtract a  
18 little more of the body weight, but the liver weight  
19 itself is not a toxic concern to me.

20 COMMITTEE MEMBER PESSAH: So the induction of  
21 liver enzymes, and especially cytochrome P450, are not a  
22 general concern for neurodevelopment?

23 COMMITTEE MEMBER ROCCA: No. This is an adaptive  
24 change to help them metabolize the drug that they're  
25 given. And, in fact, you'll frequently see that the toxic

1 effects reduce over time in animals that are treated for a  
2 long time with something, because this is an adaptive  
3 change.

4 COMMITTEE MEMBER PESSAH: But certainly for  
5 therapeutic drugs, but with environmental exposure, such  
6 as polychlorinated diphenyl ethers and PCBs, hydroxylation  
7 is well known to be an activating step not a  
8 detoxification step.

9 COMMITTEE MEMBER ROCCA: Yeah, but that's  
10 typically how it's seen in rat studies. And rats are  
11 particularly sensitive to this as opposed to other  
12 species.

13 CHAIRPERSON GOLD: Dr. Woodruff.

14 COMMITTEE MEMBER WOODRUFF: That's an interesting  
15 point about the induction of the cytochrome P450, because  
16 I think -- have you ever considered that as a potential  
17 adverse health effect, because I mean what you're  
18 saying -- what you're saying is that it has implications  
19 for metabolism of, for example, chemicals that then can  
20 increase perhaps toxicity?

21 I believe that EPA did something on this on TCE,  
22 didn't they, looking at induction of one of the  
23 metabolizing enzymes as part of their RFD? I think  
24 that...

25 COMMITTEE MEMBER VANDEVOORT: So, Dr. Pessah,

1 were you saying that -- this study did not find an  
2 increase in liver enzyme activity that you're talking  
3 about. You're just saying the liver weight changed.

4 COMMITTEE MEMBER PESSAH: Yeah.

5 COMMITTEE MEMBER VANDEVOORT: And so you were  
6 speculating about the potential for cytochrome C activity  
7 changing, correct? There's no evidence of that in this  
8 study.

9 COMMITTEE MEMBER PESSAH: Well, there are, what,  
10 200 forms of the cytochrome P450. Did they look at all of  
11 them?

12 COMMITTEE MEMBER VANDEVOORT: No, I'm saying did  
13 they look at any of them in this study?

14 COMMITTEE MEMBER PESSAH: I don't think they  
15 did --

16 COMMITTEE MEMBER VANDEVOORT: No.

17 COMMITTEE MEMBER PESSAH: -- but that doesn't  
18 mean that it isn't --

19 COMMITTEE MEMBER VANDEVOORT: No. Well, I'm just  
20 saying that we can't speculate on mechanism without  
21 evidence. And so while I agree that sometimes changes in  
22 liver weights can be associated with changes in cytochrome  
23 P450, I just don't -- where is the evidence in the case of  
24 this chemical?

25 COMMITTEE MEMBER ROCCA: Do you know if they did

1 histopath on the livers in this study?

2 COMMITTEE MEMBER VANDEVOORT: No, they did not,  
3 but I'm going to -- I will recheck that.

4 COMMITTEE MEMBER WOODRUFF: I think what I was  
5 hearing was that -- you were asking about the liver weight  
6 gain, and somebody else said, well, there's a reason for  
7 that, but you're right there's no data to suggest whether  
8 that's the reason or not, so I mean --

9 CHAIRPERSON GOLD: Right. And I think we have to  
10 make judgments based on what is before us. We can't sort  
11 of guess what's going on.

12 COMMITTEE MEMBER WOODRUFF: Right.

13 CHAIRPERSON GOLD: But I also think that this  
14 discussion is useful, because it's going to apply to some  
15 of the other -- that's why I'm sort of encouraging the  
16 discussion, because I think it's going to apply to some of  
17 the other things that we're going to review.

18 So, at this point, does anybody have anything to  
19 add, additional comments, concerns, other than the ones  
20 we've already raised?

21 Any feelings about a readiness to vote?

22 Are people ready to vote?

23 I see a couple of nods.

24 COMMITTEE MEMBER WOODRUFF: Well, I have to say  
25 that the amount of information for a chemical that's so

1 widely used, it's kind of disappointing in terms of the  
2 number of studies we have. I mean, that has nothing to do  
3 with what we're going to vote on, I know, but that just  
4 was my reaction to looking at some of these -- all these  
5 chemicals.

6 CHAIRPERSON GOLD: Just as a sidebar, I often  
7 tell my students that, you know, making policy is often  
8 what you do in the face of imperfect knowledge. And I  
9 would say that's squarely where we are. So we would like  
10 lots of other information, but we have what we have. And  
11 so I'm asking the question are we ready to vote based on  
12 what we have?

13 I see nods. Nods.

14 Okay. Well, I've got a formal piece of paper,  
15 right?

16 Let me pull that, which I must read.

17 So Dr. Alexeeff was whispering in my ear that if  
18 you would like to give reasons for your vote, we can also  
19 record those. Not required, but if you desire that,  
20 that's fine.

21 Okay. So let me read what I'm obligated to read.  
22 And actually we have to vote on each separate endpoint,  
23 right, developmental toxicity, female reproductive  
24 toxicity, and male reproductive toxicity.

25 Okay. Ready?

1 All right. So the question is, has tert-amyl  
2 methyl ether been clearly shown, through scientifically  
3 valid testing, according to generally accepted principles,  
4 to cause developmental toxicity?

5 So those that believe yes, would you please raise  
6 your hand?

7 (No hands raised.)

8 COMMITTEE MEMBER WOODRUFF: Which one?

9 CHAIRPERSON GOLD: Developmental toxicity.

10 (No hands raised.)

11 CHAIRPERSON GOLD: I see no hands.

12 All right. So. Okay. The next one is, has  
13 tert-amyl methyl ether been clearly shown, through  
14 scientifically valid testing, according to generally  
15 accepted principles, to cause female reproductive  
16 toxicity?

17 Please raise your hand, if you believe that is  
18 the case?

19 (No hands raised.)

20 CHAIRPERSON GOLD: Has tert-amyl methyl ether  
21 been clearly shown, through scientifically valid testing,  
22 according to generally accepted principles to cause male  
23 reproductive toxicity?

24 Raise your hand if you say yes?

25 (No hands raised.)

1 CHAIRPERSON GOLD: I see none.

2 Okay. I mean technically I'm supposed to ask for  
3 yes and no votes on each one?

4 CHIEF COUNSEL MONAHAN-CUMMINGS: (Shakes head.)

5 CHAIRPERSON GOLD: It's not necessary?

6 Any abstentions, I should ask. So any  
7 abstentions on the developmental?

8 Abstentions on the female reproductive toxicity?

9 Abstentions on the male reproductive toxicity?

10 Okay. So the result then is that we have all six  
11 members voting no for the clearly shown criterion.

12 CHIEF COUNSEL MONAHAN-CUMMINGS: So the result  
13 will be that that chemical will be removed from the list,  
14 at this time, and kind of put back in our -- the general  
15 group of chemicals we keep an eye on.

16 CHAIRPERSON GOLD: Right. So one point to make  
17 is even though we are deciding not to retain it on the  
18 list now, if, at some point, the staff decides that we  
19 should -- there's new evidence or whatever, we can  
20 re-examine this, correct?

21 DR. DONALD: Correct.

22 CHAIRPERSON GOLD: Very good. I think the  
23 discussion was very helpful.

24 Okay. So we're onto the next presentation.

25 (Thereupon an overhead presentation was

1           presented as follows.)

2           CHAIRPERSON GOLD: So this is 2-chloropropionic  
3 acid. And Dr. Wu is going to give the presentation.

4           DR. WU: Yes. Good morning. This -- I will  
5 present the information on 2-chloropropionic acid.

6           A comprehensive literature search on  
7 2-chloropropionic acid produced two references with  
8 developmental and reproductive toxicity search terms  
9 specifically discussing male reproductive damage.

10                           --o0o--

11           DR. WU: In the studies identified as relevant by  
12 the literature search, 2-chloropropionic acid was  
13 administered as a neutral sodium salt known as  
14 2-chloropropionate to the test subjects. The studies were  
15 conducted by Yount et al. and published in 1982. Both  
16 references were metabolic studies conducted in Wistar  
17 rats.

18                           --o0o--

19           DR. WU: In the vitro study, the metabolic  
20 effects of 2-chloropropionic acid on lipid and  
21 carbohydrate oxidation, as well as some features of energy  
22 metabolism were examined in isolated testicular cells.  
23 Testicular cells from one adult rat, eight or more 24- to  
24 27-day old rats, or 40 14-day old rats were incubated with  
25 2-chloropropionic acid for 60 minutes. This study showed

1 the capacity of isolated testicular cells to produce  
2 ketone bodies.

3 --o0o--

4 DR. WU: In the in vivo study, Yount et al.  
5 compared the metabolic and toxic effects of  
6 2-chloropropionic acid and another compound, both of which  
7 are activators of the pyruvate dehydrogenase complex.  
8 Weanling rats received approximately 4 millimole of  
9 2-chloropropionic acid per kilogram per day at the  
10 beginning of the 12-week study to 2.5 millimole of  
11 2-chloropropionic acid per kilogram per day at the end of  
12 the study.

13 This study showed 2-chloropropionic acid caused  
14 testicular abnormalities, such as testicular maturation  
15 arrest and degeneration of germ cells. Also, mean testes  
16 plus epididymis weight was significantly less in the  
17 2-chloropropionic acid treated group compared with the  
18 respective mean weight in control animals.

19 That concludes the information on  
20 2-chloropropionic acid.

21 CHAIRPERSON GOLD: Thank you, Dr. Wu.

22 Next, if we have any public comments on this  
23 particular chemical?

24 We didn't receive any. I'm not seeing anybody  
25 moving to the podium.

1           Okay. So, Dr. Baskin, I believe you're taking  
2 the lead on this discussion?

3           COMMITTEE MEMBER BASKIN: Thank you. So there  
4 are two articles from 1982. There's no articles in  
5 humans. This chemical evidently is used as an  
6 intermediary for the manufacture of pharmaceuticals and  
7 pesticides. And the industrial literature exposure to  
8 humans causes problems, such as burns, sore throat,  
9 shortness of breath, abdominal pain. That's the reported  
10 human issues. There's no scientific studies related to  
11 humans.

12           The two studies that Dr. Wu nicely summarized,  
13 one is an in vitro study, which showed no issues, and the  
14 other is an in vivo study that involves six rats. There's  
15 no evidence -- or there was no female reproductive data in  
16 the paper, so I don't think that can really be addressed.  
17 There were two developmental -- there was one  
18 developmental time point and one male reproductive time  
19 point in a well done study from 1982 in six rats looking  
20 at the offspring where they carefully looked  
21 histologically.

22           I do want to bring up a point about histology,  
23 which is germane to one of the other chemicals that we're  
24 going to look at, that when we do testicular histology in  
25 humans, as well as in rats, there's lots of ways to do it.

1 And just putting it in formalin is considered acceptable,  
2 but the next level is to do special type of histologic  
3 sections to look for germ cell degeneration and  
4 maturation, really subtle findings.

5           If you have controls, which this paper did, and  
6 if you just do formalin sections and you can show a change  
7 between the formalin section histology from your control  
8 which this paper nicely did showing maturation arrests and  
9 in generation of germ cells in all six of the treated  
10 rats, which to me adds up to 100 percent, then I would  
11 have some concern.

12           So based on one paper from 1982, in my mind,  
13 there was clear changes in all of the animals in respect  
14 to male reproductive abnormalities. And the corollary, if  
15 we look at developmental abnormalities, basically what we  
16 have changes grossly in the epididymus and in the weight  
17 of some of the reproductive organs, which in my mind is a  
18 developmental problem.

19           So it's hard to make a scientific decision on an  
20 N of one paper, but I feel it's a well done paper with  
21 nice histology in -- that's presented in the paper. And  
22 who -- somebody who actually looks at these slides, it was  
23 pretty clear to me that there was some problems with this  
24 chemical in this animal experiment.

25           Thank you.

1           CHAIRPERSON GOLD: Thank you. Anyone else on the  
2 Committee have comments or questions?

3           Dr. Pessah.

4           COMMITTEE MEMBER PESSAH: Well, since I was asked  
5 to provide a toxicologist's perspective, has anybody  
6 looked into the dechlorination of 2-chloropropionic acid  
7 and then searched the literature to see if propionic acid  
8 itself is involved in any kind of reproductive. There are  
9 bacteria that oxidatively -- or basically they hydrolyze  
10 the chlorine off of the 2-chloropropionic acid.

11           COMMITTEE MEMBER BASKIN: I can't answer that  
12 question. And I wonder, when I read these papers, and  
13 there's no other literature, did this paper tell us that  
14 this chemical -- did the chemical industry decide this was  
15 never going to be used again because it's so dangerous or  
16 why isn't there a follow-up?

17           So the answer is I don't know.

18           CHAIRPERSON GOLD: Yes. Dr. Rocca.

19           COMMITTEE MEMBER ROCCA: I have a technical  
20 question that I hope someone on the staff can help me  
21 with, as to whether this is the appropriate model, and  
22 whether this is really within our purview. In this case,  
23 these were weanling rats. So these were immature animals  
24 that were exposed to a chemical for 12 weeks and effects  
25 were seen.

1           My understanding of what -- and we've discussed  
2 this in the past, is we're not supposed to be looking at  
3 postnatal exposures to immature animals to make our  
4 decisions on.

5           DR. DONALD: The distinction between prenatal and  
6 postnatal exposures is specific to identification of  
7 developmental toxicity. If you interpreted this as a male  
8 reproductive effect, that distinction would not be  
9 relevant.

10           DIRECTOR ALEXEEFF: Also, if I could just point  
11 out, I think what you'd have to think -- you know, you'd  
12 have to know is using -- based upon this model and the  
13 developmental sequences that are occurring in this model,  
14 how does that correlate with developmental sequences in  
15 the human model?

16           So many of the things that occur in the rat, in  
17 terms of development postnatally are actually occurring  
18 prenatally in the human. So you'd have to take that into  
19 account.

20           COMMITTEE MEMBER BASKIN: So those are excellent  
21 points. And I would reiterate that it would be nice if  
22 these animals were followed longer, for example, because  
23 did the testicular -- abnormal testicular histology go  
24 away? In other words, we don't know. We don't have any  
25 information there. But on the other hand, I think it's

1 very well accepted that in the rat and mouse model, you  
2 can give alleged toxicologic agents postnatally, and they  
3 would simulate what the human would get prenatally. So I  
4 don't have any problem from that perspective.

5 COMMITTEE MEMBER ROCCA: Question. Is that the  
6 case here, since in humans you certainly would not have  
7 any spermatogenesis going on prenatally. In fact, it  
8 would be much later. So I think this may be one of those  
9 cases where we are talking about something that might be  
10 more relative to postnatal exposure. But either way, if  
11 postnatal exposure is something that we can consider, then  
12 I think we have our answer here.

13 COMMITTEE MEMBER BASKIN: So completely agree  
14 with you. And there's not spermatogenesis per se,  
15 prenatally, but there is maturation of germ cells  
16 prenatally. And that's seen all the time, for example, in  
17 the human scenario of undescended testes where the testes  
18 are abnormal prenatally if they're not in the correct  
19 position. If you don't have them entering puberty at  
20 three to six months of age with testosterone surge, if you  
21 don't have normal testosterone in utero, you get abnormal  
22 changes in the germ cells as they mature.

23 So this is a rat study, and it should be taken as  
24 a rat study, but when 100 percent of the testes look  
25 pretty darn abnormal, I think that's the data we have, so

1 I'm concerned. If another person does a study and follows  
2 these rats out to adulthood and they're all normal, I  
3 would change my mind.

4 CHAIRPERSON GOLD: Can I just ask you that but  
5 not in the control animals, it wasn't 100 percent,  
6 correct?

7 COMMITTEE MEMBER BASKIN: No, the controls were  
8 normal.

9 CHAIRPERSON GOLD: Yeah. Okay.

10 COMMITTEE MEMBER BASKIN: So I think the effect  
11 is real.

12 COMMITTEE MEMBER VANDEVOORT: So, Dr. Baskin, can  
13 you clarify for me then, are we looking at this -- are you  
14 looking at this as a male reproductive toxin or as a  
15 developmental toxin?

16 COMMITTEE MEMBER BASKIN: I think both in the  
17 sense that the epididymis was smaller and the other -- and  
18 the weight of the testes was smaller. So based on the  
19 fact that the epididymis was smaller, that's somewhat  
20 developmental to me. And I think I'm on thinner ice on  
21 that one, but pretty solid ice on the reproductive issue.

22 CHAIRPERSON GOLD: Does anyone else on the Panel  
23 have comments or questions?

24 Anything the staff wants to add?

25 No.

1           Are we ready --

2           DR. LI: Good morning. My name is Ling-Hong Li.  
3 I'm a Staff Toxicologist, OEHHA. And I was post-doc at  
4 Dr. Bob Chapin's lab for a few years at NIEHS. And for me  
5 that was the place to learn histopathology of the testis.  
6 I think that just I wanted to provide several comments to  
7 assist the Committee to discuss issues to focus on the  
8 real scientific judgment.

9           I think you mentioned three issues. The one  
10 issue is the development. I think it needed to be clear,  
11 are you talking about the development of the germ cells or  
12 developmental toxicity of these compounds? If you think  
13 about how a chemical affects development of germ cells,  
14 then clearly, you can look at the two aspects. One is the  
15 establishment of spermatogenesis or the stages of  
16 developmental cycles of the germ cells.

17           For the first one, the establishment of the  
18 spermatogenesis, you need to use animals of different ages  
19 of continuous exposure, then look at the testes at  
20 different stages of the ages.

21           If you think about the development of germ cells,  
22 you can use juvenile animals, you know, prepubertal  
23 animals, or adult animals depends on what germ cell  
24 population you wanted to look at it. So I think that that  
25 needed to be clearer whether you are considering this

1 chemical for its male repro tox versus both male repro and  
2 developmental toxicity.

3 In my understanding of Proposition 65, when you  
4 talk about the developmental toxicity, you only consider  
5 the prenatal exposure. And this study has no prenatal  
6 exposure component. I want you to keep that in mind.

7 Number two is the age of the animals. What's the  
8 best age of the animals when you look at the male  
9 reproductive toxicity. The answer is any age. And  
10 because you are considering the male reproductive  
11 toxicity, you can actually use -- actually use a  
12 pre-conception exposure, exposure of the dam, the father,  
13 and look at the male repro sex tumor in F1, F2, F3, you  
14 know, what people call the transgenerational studies.

15 You can use the fetal testis, you see. You can  
16 use the neonatal testis. I used three days old testes,  
17 when I was at Bob Chapin's lab, I routinely used 14-day  
18 old animals. So you are looking at the effect on the  
19 testes, regardless of the age of the animals. I want to  
20 point that out.

21 There's no standard that say you have to use  
22 which animal or which age. It all depends on your  
23 hypothesis of your study. What is the question you want  
24 to address? What's the best age you want to use to look  
25 at the germ cell development or Sertoli cells.

1           The third comment I want to provide is about a  
2 fixation of the testicular tissue. And I believe that the  
3 issue will come up again. I wanted to point out formalin  
4 fixation a fixative neutral -- neutrally, you know, pH  
5 neutral formalin is still the most popular fixative used  
6 in histopathology.

7           For the testes, if you use the formalin fixation  
8 combined with the parafin section, it's a poor fixation,  
9 and not good enough to detect the subtle changes. Subtle  
10 changes means vocalization of Sertoli cells, and some  
11 changes in the epithelium -- seminiferous epithelium, but  
12 not cell death. Cell death is not subtle.

13           You can look at the cell death in the frozen  
14 section, in the anti-tissue sections you prepare from the  
15 testes, so -- as well as there are other chemicals that  
16 people discussed. I think I needed to be specific on the  
17 endpoints, whether you are looking at it, and to give a  
18 blanket conclusion one method, on everything is to me is  
19 not accurate, may not be appropriate. And for this one  
20 and look at the cell deaths, look at -- I also mentioned  
21 the germ cell maturation or maturation arrest.

22           What it means in this paper, I believe, is people  
23 have not seen advance in germ cell development not unlike  
24 during the age development, 14-day versus, you know,  
25 40-day older animals.

1           In terms of exposure, 12-week exposure times  
2 seven that's 84 days. That's long enough for people to  
3 look at the whole spectrum of spermatogenesis from  
4 spermatogonia to mature sperm. So for majority of the  
5 studies on the subchronic studies, that exposure period is  
6 long enough.

7           And those are comments I hope are helpful to you.

8           CHAIRPERSON GOLD: Thank you very much.

9           Dr. Baskin, did you want to say anything  
10 additional about this?

11           Anyone else have any comments, questions?

12           George.

13           DIRECTOR ALEXEEFF: Yeah, I just want to clarify,  
14 because it -- because I had made a statement, and I just  
15 want to make sure that we're thinking of the same thing.  
16 So in terms of developmental toxicity, we have to think  
17 about the developmental sequence in humans, and how it  
18 relates to developmental sequence in the animal model.  
19 So, Dr. Li, when you were talking about prenatal exposure,  
20 I don't know if you wanted to say something -- because  
21 what we're -- the fact that they were postnatal exposures  
22 is important, but if that type of -- if that part of  
23 development occurs prenatally in humans, then that  
24 information could be relevant to human developmental  
25 toxicity.

1           So what I'm curious is, based on your knowledge  
2 of testicular development, is the type of development that  
3 was occurring in those animals at that age postnatally,  
4 how does that compare to human development of the testis  
5 in terms of age, in terms of pre or postnatal.

6           DR. LI: Sure. I think there are two issues  
7 here. One is the exposure period versus when you begin to  
8 look upon the biological consequence. You can have an  
9 exposure anytime before birth, but as long as the effect  
10 occurs whether it's a prenatal or postnatal, there is  
11 effect. To my understanding in Proposition 65, there's a  
12 clear cut, there's developmental effect.

13           On the other side between the developmental  
14 consequences, the time -- the tempo status or the pattern  
15 between the animals and the humans there's always  
16 differences. It depends on the endpoint.

17           For example, the testosterone production in  
18 animals versus in humans, in humans -- in animals it could  
19 be -- the low production of androgen occurs right after  
20 birth and within the first two hours. In humans, it could  
21 be two years. And it also depends on the enzymes and the  
22 other aspects of testicular development. It really  
23 depends on the endpoint you are looking upon. What I'm  
24 saying is that two things, one is the way exposure  
25 occurred. The other thing is the biological consequence.

1           So you cannot say that one biological event  
2 occurred in animals postnatally. It doesn't mean -- if  
3 one event occurred in animals postnatally doesn't mean it  
4 will always occur in humans postnatally.

5           So it's up to the expert to decide whether that  
6 biological consequence is prenatal or postnatal. It's a  
7 biological effect. What I say it was exposure. And they  
8 are two different things. To me, as a scientist, not as  
9 a, you know, Proposition 65 scientist, I mean general  
10 scientist, development is a continuous process. There's  
11 no prenatal. There's no postnatal. It's the same thing.

12           CHAIRPERSON GOLD: Thank you.

13           Perhaps, Dr. Baskin, if you would, just comment  
14 on whether the developmental aspects that they're looking  
15 at postnatally in this animal study, if any of those occur  
16 prenatally in humans? If you could clarify that for those  
17 of us who are not experts on this area.

18           Can you do that?

19           COMMITTEE MEMBER BASKIN: I could try.

20           CHAIRPERSON GOLD: I'm sorry to put you on the  
21 spot.

22           COMMITTEE MEMBER BASKIN: I mean, I'm looking at  
23 the histologic picture from this paper, Figure 1, on page,  
24 you know, 505, formalin fixed, which is not the ideal way  
25 to look at testes. And I see fibrosis and interstitium.

1 I'm not even looking closely. And I see just major  
2 changes. You know, so even I can see this, and I've  
3 looked at a lot of testes under the microscope. So  
4 there's no question in my mind that that's a reproductive  
5 repercussion with this being a rat model.

6           Developmentally, thin ice was probably a  
7 reasonable word. What is the evidence that there's  
8 developmental issues? I'd like to see more data. I would  
9 like to see examination of the whole animal. That's not  
10 reported in the study. This was very focused, but the  
11 little data I do have suggests that there could certainly  
12 be developmental effects if you have a small testes, which  
13 is not reproductive, and some of this might be semantics,  
14 but you need your testes for puberty, sexual function,  
15 testosterone, et cetera. So I'm being pretty global in my  
16 interpretation of what I'm calling developmental.

17           And also in the animals, there is a clear -- as  
18 Dr. Wu pointed out in her nice table, the ratio of the  
19 weight of the testes plus the epididymis the whole body  
20 weight was significantly smaller in the treated groups.

21           Is that developmental?

22           I think each one in the Panel needs to decide  
23 that or each one in the room. For me, it's close enough  
24 that I'm willing to call that a developmental problem with  
25 the small testes, as well as a reproductive problem. And

1 again when no data on female reproductive aspect given in  
2 either of the papers.

3 CHAIRPERSON GOLD: Thank you.

4 Dr. Rocca.

5 COMMITTEE MEMBER ROCCA: Yes. While you were  
6 talking, I was looking at what our charge is. And in our  
7 definitions of male reproductive toxicity, it does answer  
8 my previous question, if I'd looked it up, "...is defined  
9 to include effects on the adult, or where appropriate, the  
10 developing male organism". And then it goes on to say  
11 those things it includes impaired sperm and endocrine  
12 function and all those things.

13 So according to this, I think that this would be  
14 a case where you could say that this was included under  
15 male toxicity because it affected the developing male  
16 organism in those ways, either endocrine or sperm or both.  
17 So that answers that.

18 CHAIRPERSON GOLD: Okay. Thank you.

19 Dr. Baskin or Dr. Woodruff.

20 COMMITTEE MEMBER WOODRUFF: Yes. So that was  
21 very helpful. I was just looking back at the study  
22 because the rats were exposed at less than one week old.  
23 And if I followed the discussion, a less than one week old  
24 rat is similar to fetal -- human -- like the last  
25 trimester of fetal development, right? So that would be

1 considered a developmental exposure.

2 COMMITTEE MEMBER BASKIN: For me it would.

3 COMMITTEE MEMBER WOODRUFF: Okay. Just  
4 clarifying that.

5 COMMITTEE MEMBER ROCCA: Which study?

6 COMMITTEE MEMBER WOODRUFF: The rats less than  
7 one week old were injected with -- oh, I'm sorry. That's  
8 the sodium chloride --

9 COMMITTEE MEMBER BASKIN: That's in vitro study.

10 COMMITTEE MEMBER WOODRUFF: The in vitro study.  
11 Oh, not the other -- the other one is the in vivo study.  
12 Where they also exposed at less than a week?

13 COMMITTEE MEMBER ROCCA: No. It just says they  
14 were weanlings, so I was --

15 COMMITTEE MEMBER WOODRUFF: Weanlings, sorry.

16 COMMITTEE MEMBER ROCCA: Weanlings, which is  
17 typically around 21 days of age. And in the  
18 Sprague-Dawley rat at least -- this is Wistar -- you would  
19 not expect sexual maturity, which is known as preputial  
20 separations until day 42. So these animals were  
21 definitely quite immature at weaning.

22 COMMITTEE MEMBER WOODRUFF: So -- I don't --

23 CHAIRPERSON GOLD: Dr. Pessah.

24 COMMITTEE MEMBER PESSAH: I actually just have a  
25 question. Is the exposure relevant to human exposure? I

1 mean, is it reasonable?

2 COMMITTEE MEMBER BASKIN: I'm not an expert on  
3 that, but it supposedly was in a range that was not, you  
4 know, poison. I mean, water is poisonous, right?

5 CHAIRPERSON GOLD: Other comments?

6 So, Dr. Baskin, you're coming down on the side of  
7 developmental and your feelings on male reproductive  
8 toxicity?

9 COMMITTEE MEMBER BASKIN: Yes. And I can't --  
10 and I would abstain on female reproductivity. I don't  
11 think we have any data.

12 CHAIRPERSON GOLD: But you would include male  
13 reproductive tox?

14 COMMITTEE MEMBER BASKIN: Yes.

15 CHAIRPERSON GOLD: Okay. Any other comments,  
16 questions from the Panel, from the staff that they want to  
17 add?

18 Anybody?

19 All right. So we're ready to vote.

20 All right. So the first question, has  
21 2-chloropropionic acid been clearly shown, through  
22 scientifically valid testing, according to generally  
23 accepted principles to cause developmental toxicity?

24 If you believe yes, please raise your hand?

25 (Hands raised.)

1 CHAIRPERSON GOLD: One, two, three, four.

2 Correct, four?

3 If you do not believe it has been clearly shown  
4 to cause developmental toxicity, please raise your hand?

5 (Hand raised.)

6 CHAIRPERSON GOLD: And those abstaining from this  
7 vote?

8 (Hand raised)

9 CHAIRPERSON GOLD: Okay. The second question,  
10 has 2-chloropropionic acid been clearly shown, through  
11 scientifically valid testing, according to generally  
12 accepted principles to cause female reproductive toxicity.  
13 If you believe yes, please raise your hand?

14 (No hands raised.)

15 CHAIRPERSON GOLD: I see no yeses.

16 Those voting no, raise your hand, maybe, just so  
17 for completeness?

18 (Hands raised)

19 CHAIRPERSON GOLD: One, two, three, four, five,  
20 six.

21 Okay. And so no abstentions.

22 And finally, has 2-chloropropionic acid been  
23 clearly shown, through scientifically valid testing,  
24 according to generally accepted principles to cause male  
25 reproductive toxicity?

1 Raise your hand if you believe yes?

2 (Hands raised.)

3 CHAIRPERSON GOLD: Three, four, five, six.

4 So that would mean no noes and no abstentions.

5 I can do that math.

6 Okay. So the result is for developmental  
7 toxicity, we do not have sufficient votes to retain it on  
8 the list, so it will be removed from the list for  
9 developmental toxicity.

10 You have no votes -- all of no votes for female  
11 reproductive toxicity, but we have six votes for male  
12 reproductive toxicity, so it will remain on the list for  
13 that reason, correct, Carol?

14 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. It ends  
15 up being on the list for reproductive toxicity, but with  
16 the male endpoint.

17 CHAIRPERSON GOLD: Correct. Okay. Thank you for  
18 the correction.

19 All right. Does the recorder need a break?

20 CHAIRPERSON GOLD: So we'll try and do one more  
21 chemical and go to lunch.

22 Okay. So we're now moving on -- I hope I'm  
23 looking at the right agenda here, 2-ethylhexanoic acid,  
24 which --

25 DIRECTOR ALEXEEFF: Methylacetamide.

1 CHAIRPERSON GOLD: I'm sorry, I've got two  
2 different lists. I apologize.

3 So N,N'-Dimethylacetamide. And we're going to go  
4 first to the staff on this one. My apologies.

5 (Thereupon an overhead presentation was  
6 presented as follows.)

7 DR. GOLUB: My name is Mari Golub. I'm going to  
8 be presenting the information for N,N'-Dimethylacetamide  
9 or DMAC. Eighteen articles relevant to DMAC were obtained  
10 from the literature review. DMAC belongs to the amide  
11 group -- type solvent group and whose agents have similar  
12 toxicity. There are concerns for inhalation and dermal  
13 exposure to the amide solvent group in the workplace.  
14 Recent regulatory reviews have emphasized the DMAC  
15 developmental toxicity including malformations.

16 --o0o--

17 DR. GOLUB: DMAC developmental toxicity research  
18 extends back over several decades. Early in the sixties  
19 and seventies, the developmental toxicity of the amide  
20 solvents was discovered, including DMAC. Because of the  
21 concern for dermal exposure in the workplace, early  
22 studies were conducted by the dermal route and they also  
23 found developmental toxicity. Later work in the eighties  
24 and nineties studied oral and inhalation routes of  
25 exposure, using developmental toxicity study guidelines.

1 And there are two recent guideline type inhalation studies  
2 also. Altogether then, there are 10 developmental  
3 toxicity studies by different routes in rats and in  
4 rabbits.

5 --o0o--

6 DR. GOLUB: The developmental toxicity endpoints  
7 in the early studies included embryoletality, delayed  
8 embryo development, and external malformation after DMAC  
9 injection. After the dermal application, decreased litter  
10 size and fetal weight were documented at term, along with  
11 skeletal deviations and some individual malformations.

12 Later, when oral guideline type studies were  
13 conducted, similar endpoints of fetal loss and lower fetal  
14 weights were seen; along with broader teratological  
15 findings, including anasarca, or whole body edema;  
16 skeletal defects and reduced ossification particularly  
17 involving the sternebrae; individual fetuses with cleft  
18 palate and microphthalmia; and, in particular,  
19 distinctive cardiovascular malformations.

20 The later inhalation studies during this time  
21 period found also fetal loss and reduced fetal weights,  
22 but major malformations were not seen.

23 However, in the recent inhalation guideline  
24 studies, cardiovascular malformations were recorded along  
25 with the anasarca, skeletal malformations, and skeletal

1 variations.

2 --o0o--

3 DR. GOLUB: This is more detail on the  
4 cardiovascular malformations from a rat inhalation  
5 toxicology study by Okuda et al., who used  
6 concentration -- inhalation concentrations up to 600 ppm  
7 in rats. A decreased pregnancy weight gain was seen at  
8 450 and 600 ppm, the two top doses. In reference to  
9 previous discussions, we did prepare more information on  
10 pregnancy weight gain.

11 Increased dam relative liver weight was seen at  
12 the three top doses, along with the hepatocyte swelling in  
13 the histopathology, but no elevation of liver enzymes.  
14 And also there was no clinical science report in any of  
15 the subjects in this experiment.

16 In the fetal exam, there was increased fetal loss  
17 at the highest dose and decreased fetal weights at the  
18 three highest doses.

19 --o0o--

20 DR. GOLUB: This slide gives a little more  
21 information on the cardiovascular malformations.  
22 Ventricular septal defect was seen in 22 fetuses in eight  
23 litters at the highest dose, 600 ppm, seven fetuses in six  
24 litters at the next highest dose. The hash marks are a  
25 statistical significance from chi square test. One hash

1 mark P equals 0.05 two P equals 0.01.

2 Persistent truncus arteriosus was seen at the  
3 high dose, 12 fetuses in seven litters. And the second  
4 highest dose, two fetuses in the same litter.

5 Malpositioned subclavian artery at the high dose, and also  
6 retroesophageal subclavian artery at the high dose.

7 The picture shows -- demonstrates the persistent  
8 truncus arteriosus malformation. And the control heart,  
9 shown on the left, the common arterial branch, or the  
10 truncus arteriosus has appropriately divided into the  
11 pulmonary artery and the aorta by the time of birth, on  
12 the right side. In the DMAC treated fetus, the truncus  
13 arteriosus did not so differentiate leading to a  
14 potentially fatal misdirection of circulation after birth.

15 The subclavian artery malformations, in the last  
16 two rows of the table, were also seen in the gavage  
17 studies conducted earlier. And these are similar  
18 cardiovascular malformations.

19 We did -- also, in reference to the previous  
20 discussion, we did look into the historical control data  
21 for these malformations.

22 --o0o--

23 DR. GOLUB: Less research is available on the  
24 male and female reproductive toxicity of DMAC.  
25 Mutagenicity testing of DMAC did not produce clear

1 findings of dominant lethal effects. Based on the  
2 findings of various unpublished chronic and subchronic  
3 toxicity studies regarding testes, a subchronic inhalation  
4 study in male rats was undertaken, and at -- in -- by  
5 Valentine et al. in 1997. It used pubescent mice, adult  
6 mice, and adult rats.

7 In pubescent mice, there was clear evidence of  
8 testicular atrophy. However, mortality was high at the  
9 same doses. The adult mice showed some signs of  
10 testicular toxicity at those doses, excluding the highest  
11 dose, at which -- and no lethality was seen. The adult  
12 rats did not demonstrate testicular toxicity at the same  
13 doses.

14 A later fertility study with exposure only in  
15 male breeders did not find reproductive effects. That's  
16 the Wang et al., 1998 study.

17 --o0o--

18 DR. GOLUB: A one-generation inhalation study  
19 with both male and female breeders exposed also reported  
20 no fertility effects, although developmental toxicity was  
21 seen. This final study in hamsters looked specifically at  
22 DMAC administration right before implantation and reported  
23 pregnancy loss as well as ovarian damage.

24 In a delayed fertility trial, those hamsters were  
25 allowed to recover from the treatment and re-mated, and

1 there was no indication of decreased fertility.

2 That concludes the overview on developmental and  
3 reproductive toxicity of DMAC, dimethylacetamide.

4 CHAIRPERSON GOLD: Thank you very much.

5 At this time, are there any public comments?

6 And I'm not hearing or seeing any.

7 So Dr. Rocca, you were going to take this one.

8 COMMITTEE MEMBER ROCCA: Thank you. That was a  
9 very well done summary. Thank you very much for making my  
10 job a little easier here.

11 So I'll start first with the embryo fetal  
12 developmental toxicity. It was shown in rats by  
13 inhalation, oral route, and dermal route that there were  
14 losses, so there was a reduction in survival. And also in  
15 rat and rabbit, they also showed as well by all three  
16 routes that there were malformations. And as was said,  
17 these are serious malformations. These are not ones that  
18 are seen sporadically. And this is a grouping of  
19 malformations, which tells you that there's something  
20 that's going on developmentally with that system at that  
21 time. And so I find this compound to be both embryotoxic  
22 and teratogenic in all those species.

23 The male reproductive toxicity is not quite as  
24 clear, that there were a variety of fertility studies in  
25 which there was no effect. There was a dominant lethal

1 study in which there was no effect. There was some  
2 effects seen in seminiferous tubule atrophy in mice. And  
3 its severity was increased in pre-pubescent mice.  
4 However, there were no effects in rats or no sperm effects  
5 in any of the studies that they looked at.

6           However, histopathology is thought to be a much  
7 more sensitive endpoint for male toxicity than just mating  
8 studies. So based on that, I think we can say we probably  
9 have sufficient evidence to believe that we have a male  
10 reproductive toxicant.

11           Female reproductive toxicity I think is the more  
12 difficult one. In fertility studies in rats, there was no  
13 effect whatsoever. In the hamster study, there were  
14 effects, but those effects went away after the chemical  
15 was gone, so they were not a continuing lasting effect.  
16 And also in hamsters, this had to do with the  
17 implantation. And this could be rescued with hormone  
18 supplementation, which makes you think that it is a  
19 specific mechanism of action that would have to do with  
20 reproduction, but I'm not clear that hamster reproduction  
21 at the time of implantation is relevant to human. And I  
22 couldn't find out much data on that.

23           So the female fertility. As I said, we don't  
24 have much in the way of rats. For the hamster study, I  
25 also want to point out that was between one and two grams

1 per kilogram per day as an oral route. And this is not a  
2 chemical that would be expected to be absorbed orally.  
3 That it's usually used in industrial settings via  
4 inhalation or dermal. It is metabolized by P450s in the  
5 liver of the rat at least. And that would go along with  
6 the liver findings that we have.

7 CHAIRPERSON GOLD: Thank you very much. Anybody  
8 want to add anything on the Committee? I was wondering --  
9 I hate to put you on the spot Dr. VandeVoort, but the  
10 comment that Dr. Rocca made about female fertility and  
11 toxicity in the mechanism involving hormone  
12 supplementation, do you have anything to say about that?

13 It's okay if you don't. I'm putting you on the  
14 spot.

15 COMMITTEE MEMBER VANDEVOORT: I really don't, no.

16 COMMITTEE MEMBER ROCCA: Yeah. What I was trying  
17 to determine is I know, in some species that the hormones  
18 from the corpora lutea are essential in maintaining  
19 pregnancy, and in other species, they are not. It is more  
20 from the placenta. And in the hamster it appears it's the  
21 corpora lutea, but I really couldn't find out any data to  
22 help me.

23 COMMITTEE MEMBER VANDEVOORT: I don't know what  
24 it is in the hamster. I -- certainly, in many other  
25 species, including humans, you have this transition, you

1 know, in the luteal placental shift that occurs very early  
2 in pregnancy. And I just don't know about the hamster.

3 CHAIRPERSON GOLD: Fair enough.

4 Any other questions, comments from the Committee?

5 Anything the staff wants to add or are we ready  
6 to vote?

7 Yes. Good.

8 Okay. So the first question is, has  
9 N,N'-Dimethylacetamide been clearly shown through  
10 scientifically valid testing, according to generally  
11 accepted principles to cause developmental toxicity. If  
12 you believe yes, please raise your hand.

13 (Hands raised.)

14 CHAIRPERSON GOLD: Three, four, five, six.

15 So no noes, and no abstentions.

16 Has N,N'-Dimethylacetamide been clearly shown  
17 through scientifically valid testing, according to  
18 generally accepted principles to causes female  
19 reproductive toxicity? All those who believe yes, please  
20 raise your hand.

21 (No hands raised.)

22 CHAIRPERSON GOLD: I see no yeses.

23 How many think no that it has not been?

24 (Hands raised.)

25 CHAIRPERSON GOLD: It looks like we have four.

1 Abstentions?

2 (Hands raised.)

3 CHAIRPERSON GOLD: Two. Thank you.

4 Has N,N'-Dimethylacetamide been clearly shown  
5 through scientifically valid testing, according to  
6 generally accepted principles to cause male reproductive  
7 toxicity? All those who believe yes, please raise your  
8 hand.

9 (Hands raised.)

10 CHAIRPERSON GOLD: Six.

11 So we have zero noes and zero abstentions.

12 So as a result of these votes,  
13 N,N'-Dimethylacetamide will remain on a list for the  
14 reasons of developmental and male toxicity -- reproductive  
15 toxicity.

16 Okay. Thank you.

17 Very good. The question is whether we should do  
18 one more or go to lunch or take a break.

19 CHAIRPERSON GOLD: Well, the Panel says go for  
20 it.

21 Well, the next one is 2-ethylhexanoic acid.

22 Why don't we see if we can do one more before  
23 lunch.

24 And Dr. Iyer is going to present this.

25 (Thereupon an overhead presentation was

1 Presented as follows.)

2 DR. IYER: Good morning. Today, we are going to  
3 be talking about presenting information on 2-ethylhexanoic  
4 acid.

5 My name is Poorni Iyer and I am a staff  
6 toxicologist 2-ethylhexanoic acid with OEHHA.

7 A comprehensive literature search resulted in 10  
8 references on the potential reproductive toxicity of  
9 ethylhexanoic acid in mice and rats, and in experiments  
10 using embryo culture. In a large number of the  
11 references, the emphasis was on developmental toxicity.

12 --o0o--

13 DR. IYER: So eight studies examined the effects  
14 of ethylhexanoic acid on development subsequent to  
15 prenatal exposure in the rat and the mouse in various  
16 strains and in the rabbit in one strain. Three studies  
17 examined the effects of ethylhexanoic acid using in vitro  
18 systems, such as embryo culture, cell culture, and FETAX.

19 --o0o--

20 DR. IYER: The effects on the Wistar rats.  
21 Several studies examined the effects of ethylhexanoic acid  
22 on development. These include studies by Ritter et al.  
23 and Pennanen and 1992 and 1993. All these involved  
24 prenatal exposure on specific days of gestation and  
25 evaluation following C-section on gestation day 20, that

1 is, pregnant rats were killed on gestation day 20 and  
2 following C-section, implantation sites were counted and  
3 fetuses processed for teratogenic examination; or males  
4 and females were exposed prior to, during mating, during  
5 gestation, and during lactation with postnatal examination  
6 of pups. And sperm motility, density and morphology was  
7 evaluated from samples collected from the epididymis.

8           The findings. There was an increased percentage  
9 of dead and resorbed fetuses, a decrease in fetal weight,  
10 a decrease in litter size, an increase in fetal  
11 malformations, such as hydronephrosis and the skeletal  
12 system appears to be the main target.

13           A delay in developmental landmarks, such as  
14 opening of eyes and eruption of teeth was noted, and  
15 reflexes, such as grip reflex and cliff avoidance was also  
16 noted.

17           It appears that administration on gestation day  
18 six increased the number of implantations and caused  
19 resorptions in about 80 percent of the pregnant animals.  
20 And less severe effects was seen with exposure on  
21 gestation day seven.

22                           --o0o--

23           DR. IYER: Looking at the effects on Fischer  
24 rats. A slight developmental toxicity manifested as a  
25 decrease in fetal weight, and decrease in ossification in

1 fetuses was noted. These effects were noted at the high  
2 dose level with some maternal toxicity, such as signs  
3 of -- clinical signs of toxicity and increased liver  
4 weights. They were also noted at the lower dose level as  
5 well.

6 In Sprague-Dawley rats, delayed parturition at  
7 gestation day 22 or later was noted, along with reduced  
8 pup weight, decreased progeny viability, and the  
9 malformations that were noted included Syndactyly,  
10 vestigial tail, fused ribs, extra presacral vertebrae,  
11 increased incidence of cervical ribs, and lumbar ribs.

12 Also, increase in encephalocele and tail defects  
13 in animals fed low and adequate zinc was noted, with the  
14 highest incidence being in the adequate zinc diet with the  
15 low zinc group. According to the authors, the findings  
16 support the hypothesis that ethylhexanoic acid may  
17 influence embryonic zinc metabolism, and thus trigger  
18 abnormal development.

19 --o0o--

20 DR. IYER: Moving on to slides using NMRI mice  
21 and SWV mice and C57 black mice, in the NMRI mice exposed  
22 prenatally via intra peritoneal injection, a decrease in  
23 fetal weight was noted, and embryotoxic and teratogenic  
24 effects, such as exencephaly was also noted.

25 In the SWV mice and C57 black mice exposure was

1 through both subcutaneous, and there were groups that were  
2 exposed intra peritoneally. And there was an increase in  
3 percentage of dead or resorbed fetuses, and increase  
4 exencephaly was also noted.

5 SWV appears to be more sensitive a strain than  
6 C57 black for induction of exencephaly. And gestation  
7 days 8, 8.5 and 9 appeared to be the most sensitive time  
8 for induction of exencephaly. Other malformations noted  
9 ablepharon, or open eyes, hydronephrosis, and skeletal  
10 effects affecting the -- with effects affecting the axial  
11 skeleton and skull were also noted.

12 --o0o--

13 DR. IYER: Okay. In the study using New Zealand  
14 white rabbits, prenatal exposure via oral gavage resulted  
15 in no teratogenic effects, some decrease in fetal body  
16 weight at high dose -- at the high dose level of 250 mg  
17 per kg per day was noted, but this was not statistically  
18 significant.

19 --o0o--

20 DR. IYER: Three studies examined the effects of  
21 ethylhexanoic acid using in vitro systems, such as embryo  
22 culture, cell culture and FETAX. Gestation day 10.5  
23 embryos collected from control dams were cultured for 48  
24 hours in serum from control or ethylhexanoic acid-treated  
25 male rats fed 4.5 or 25 micrograms zinc per gram in the

1 diet. And embryos cultured in either ethylhexanoic acid  
2 or low zinc sera exhibited delayed development. Addition  
3 of zinc to these -- to the sera eliminated the  
4 developmental toxicity effects.

5 Ethylhexanoic acid was enhanced by about 30  
6 percent. The GnRH-stimulated production of LH by cultures  
7 of pituitary cells isolated from untreated 20-day old  
8 female rats. And ethylhexanoic acid had no effect on the  
9 basal production of the luteinizing hormone.

10 In the frog embryo teratogenesis assay, increase  
11 in malformations, such as microcephaly, abnormal gut  
12 coiling, eye edema, and skeletal kinking and general edema  
13 was noted.

14 And that concludes the information available for  
15 ethylhexanoic acid.

16 CHAIRPERSON GOLD: Thank you, Dr. Iyer.

17 So, at this time, if we have any public comments  
18 on 2-ethylhexanoic acid.

19 I have one.

20 So Dr. Will Farber -- Faber, sorry.

21 DR. FABER: Good morning still.

22 My name is Will Faber. I'm a reproductive and  
23 developmental toxicologist. I'm here today for  
24 2-ethylhexanoic acid on behalf of the Oxo Process Panel at  
25 the American Chemistry Council.

1           The American Chemistry Council Oxo Process Panel  
2 has funded the research that was conducted in Dr. Carl  
3 Keen's laboratory that we believe demonstrates the  
4 mechanism of action by which 2-ethylhexanoic acid causes  
5 developmental toxicity, and that is through a maternally  
6 mediated mechanism.

7           2-ethylhexanoic acid causes an acute phase  
8 response in the maternal liver. That is one of the  
9 peptides that's induced is metallothionein.

10 Metallothionein subsequently binds and sequesters zinc  
11 within the maternal liver. And this leads to a transient  
12 decrease in zinc, which is an essential nutrient for  
13 embryonic development. A transient decrease to the  
14 embryo, so you're really causing a zinc deficiency within  
15 the embryo, and that the developmental effects are  
16 secondary to that maternal toxicity.

17           The second point that I'd like to make is that  
18 the testicular toxicity observed in the Pennanen paper  
19 has -- is very difficult to interpret. And we have  
20 provided comments to the DART Panel on how we interpret  
21 that information. Simply put, they had extremely poor  
22 readings, values, parameters in their control population,  
23 which to us demonstrated that they did not -- they were  
24 not adequately trained. Their laboratory could not really  
25 measure those parameters in experimental animals.

1           So since then, we've been engaged in negotiations  
2 to do additional testing on 2-ethylhexanoic acid to  
3 examine those endpoints, but that testing has not started  
4 for various reasons.

5           So, in summary, thank you again, and I'm here to  
6 answer any questions you may have of me.

7           CHAIRPERSON GOLD: Thank you. Are there any  
8 questions from the Panel for Dr. Faber?

9           I don't see any. Thank you.

10          DR. FABER: Thank you.

11          CHAIRPERSON GOLD: Okay. So Dr. Woodruff, right?

12          COMMITTEE MEMBER WOODRUFF: Yes. Thank you.

13          So thank you. So thank you for the presentation.  
14 It was excellent. As you noted in the presentation, there  
15 is very few data on the reproductive and -- the  
16 reproductive endpoints related to males and females. So  
17 there was the one study that you mentioned looking at some  
18 effects on sperm, but it was, I think -- I believe it's  
19 just one study.

20          So I focused my evaluation on the effects on  
21 fetal development, and because I -- I went through this  
22 information in a couple of different ways. And I actually  
23 put them onto some printouts, so that it would make --  
24 help me evaluate the information a little bit more  
25 systematically.

1           So first of all, I went through and actually  
2 evaluated based on some tools that we have to look at  
3 study quality, as well as those that have been developed  
4 by the National Toxicology Program to assess some of the  
5 aspects of study quality to get an idea about the various  
6 studies that have been done related to developmental  
7 toxicity.

8           So the things that I focused on in this  
9 evaluation and cross checked this with Hanna, who I  
10 mentioned, is -- and these are tools that are available on  
11 the NTP website is randomization, allocation, concealment,  
12 blinding, incomplete outcome data, selective outcome  
13 reporting, and other sources of bias.

14           I would note that the studies were generally of  
15 medium quality. They're high quality in the sense that  
16 they're experimental designs, so we have control, and we  
17 also have direct exposures. So that gives us a lot --  
18 some more confidence in the results, but not all the  
19 studies were clearly -- while some of them were  
20 randomized, not all of them were randomized, some of  
21 them -- it wasn't really clear if they were blinding their  
22 evaluation or not, and some of them had incomplete outcome  
23 data.

24           Nonetheless, when I looked at the -- I  
25 actually -- we actually put the data into a spreadsheet to

1 look at the outcomes that were in the OEHHA document,  
2 focusing on malformations exencephaly -- I can't --  
3 exencephaly, malformations, variations. And then some of  
4 the specific malformations including external presacral  
5 vertebrae, lumbar ribs, and cervical ribs. And I have  
6 this spreadsheet, if people would like to see it, but we  
7 put down the number of controls, the number in the  
8 treatment, the samples, the outcomes, the incidence in  
9 both the control group and the treatment group, the doses  
10 in each of the studies. And then I -- we used this  
11 information to actually calculate an odds ratio, which is  
12 relatively simple, and I have a document to show how we  
13 did that, and then graphed all the outcomes, so that we  
14 could see them altogether to look and see how they  
15 might -- and again, I can show everyone this handout -- to  
16 look at both the incidence of anomalies, as well as the  
17 incidence of fetal weight, cause those were the main  
18 outcomes that were evaluated in the studies.

19 And so I would say the -- just to go back, the  
20 study quality overall I would say was medium, but the  
21 other factors that I considered when evaluating the  
22 strength of the evidence for the outcomes was the  
23 direction of the outcomes, the consistency of the  
24 outcomes, and the -- somewhat the heterogeneity.

25 So the results, as were mentioned, cover rats --

1 mostly rats, some rabbits, a few mice -- mouse studies.  
2 And then there was a -- oh, and also the dose response,  
3 and there were also frogs.

4           So for the birth weight, most of the findings,  
5 when you put it on a similar scale in terms of mean,  
6 difference, and effect size were mostly consistently, I  
7 would say, null. For the outcomes for fetal  
8 abnormalities, all the studies -- almost all of the  
9 findings were positive, almost all of the findings were  
10 statistically significant. Most of them had a dose  
11 response with the exception -- the one finding that was  
12 null, as was mentioned in both of the presentations, was  
13 the study in the New Zealand rabbits.

14           So from that, I concluded that the strength of  
15 evidence was sufficient in terms of developmental toxicity  
16 given a medium quality on the -- in terms of risk of bias  
17 and high quality -- or consistency in findings, as well as  
18 some evidence of dose response across multiple species.

19           CHAIRPERSON GOLD: Thank you. You don't want to  
20 say anything about male or female reproductive toxicity?

21           COMMITTEE MEMBER WOODRUFF: Well, I would say  
22 that I -- there were some findings on that, but they were  
23 pretty limited. So I didn't feel comfortable to  
24 recommend -- well, I would not suggest that they, from the  
25 evidence, were male or reproductive -- male or female

1 reproductive toxicants.

2 CHAIRPERSON GOLD: Thank you.

3 Any comments or questions from the Panel for Dr.  
4 Woodruff or in general?

5 Dr. Rocca.

6 COMMITTEE MEMBER ROCCA: I'm very interested in  
7 seeing your scoring system. And, yes, I do want to see  
8 all of this.

9 COMMITTEE MEMBER WOODRUFF: I guess I can hand it  
10 to you.

11 COMMITTEE MEMBER ROCCA: Because I hope I can use  
12 them in the future, not at the moment.

13 COMMITTEE MEMBER WOODRUFF: Oh, I have a paper.

14 COMMITTEE MEMBER ROCCA: How did you weight the  
15 study in which they used IP as the route, because that's a  
16 very problematic route?

17 COMMITTEE MEMBER WOODRUFF: Yeah. We generally  
18 considered -- I like pulling up the papers -- pulling up  
19 the information. Oh, here. There were different routes  
20 of exposure from across the different studies. I mean, if  
21 you look at the findings. Again, like I said, I can hand  
22 this to you, they don't appear to -- oh, I wanted to make  
23 one more comment before I answer your route-of question,  
24 is that we did mark which -- what exposure level. There  
25 was maternal toxicity and there was findings of effects on

1 developmental toxicity below maternal -- the -- what the  
2 papers reported as effects on maternal toxicity.

3           And then I'm -- I would say that we -- if we have  
4 information to suggest that the route of exposure matters,  
5 then we incorporate it, but I didn't see anything that  
6 suggested that.

7           CHAIRPERSON GOLD: While you're looking, I'm  
8 going to ask counsel about the material she's prepared.

9           CHIEF COUNSEL MONAHAN-CUMMINGS: Well, here's  
10 what I would suggest is that it's fine if you want to  
11 share that with the rest of the Committee. What we would  
12 need to do is get a copy, so that we can make one for our  
13 record and then provide copies to members of the audience  
14 that are interested.

15           And if you all feel like you need to take some  
16 time to look at that, maybe what we ought to do is let  
17 everybody do that, take the break, don't discuss it  
18 amongst yourselves necessarily, but then you'll have a  
19 chance to think about that and look at it before you have  
20 a further discussion.

21           CHAIRPERSON GOLD: Right. So we could take it  
22 with us and each individually look at it over lunch, but  
23 not discuss it among ourselves, and make sure that copies  
24 are available for staff and for the audience.

25           CHIEF COUNSEL MONAHAN-CUMMINGS: (Nods head.)

1 CHAIRPERSON GOLD: Dr. Woodruff, are you okay  
2 with that?

3 Do you ave adequate copies for that?

4 COMMITTEE MEMBER WOODRUFF: I'm fine with that.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: We can make  
6 copies where needed. If you just give us one, we'll make  
7 sure that we have some.

8 CHAIRPERSON GOLD: Okay. Well, while you're  
9 looking -- sorry, was there anything else?

10 CHIEF COUNSEL MONAHAN-CUMMINGS: No.

11 CHAIRPERSON GOLD: Dr. Pessah.

12 COMMITTEE MEMBER PESSAH: I was just wondering  
13 what were some of the odds ratios that you came up with  
14 for developmental?

15 COMMITTEE MEMBER WOODRUFF: Oh, yes.

16 So every -- it ranged down to there -- the low  
17 end was -- there was one that was below one. Everything  
18 else was above one, but the log's odds went up to  
19 1,000 -- I think the highest was around a couple hundred,  
20 but most of them were around -- I have to look in this.  
21 Most of them were around two to three, I would say,  
22 generally.

23 CHAIRPERSON GOLD: With confidence limits that  
24 didn't include one, I presume, or --

25 COMMITTEE MEMBER WOODRUFF: Right. Almost all of

1 them were above one, yes.

2 CHAIRPERSON GOLD: Okay. So we're still waiting  
3 for an answer to Dr. Rocca's question, is that correct?

4 COMMITTEE MEMBER WOODRUFF: Oh, right. I'm  
5 still -- I did answer it.

6 COMMITTEE MEMBER ROCCA: I think we can defer  
7 that till after we've looked at the data and talk about it  
8 later, if you don't have that right now.

9 CHAIRPERSON GOLD: Okay. Sounds like maybe we're  
10 at a breaking point for lunch that is.

11 I think we're doing really well.

12 So should we plan on being back at 1:00 o'clock?  
13 Is that good for everybody, 1:00 o'clock?

14 And we will resume with this compound.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. So it's,  
16 as I mentioned, best not to discuss it among yourselves.  
17 In the event that you do, you're going to need to talk  
18 about what you talked about again when you get back to the  
19 public meeting. The same thing for third parties, if they  
20 want to talk to you off-line, then you're going to  
21 probably need to talk about what you talked about when you  
22 get back.

23 CHAIRPERSON GOLD: And Dr. Woodruff will provide  
24 us with the copies that she has, and give one at least to  
25 the staff, so that they can make copies as needed for

1 staff and public.

2           Okay, 1:00 o'clock we'll see you back here.

3           Thank you all.

4           (Off record: 12:11 PM)

5           (Thereupon a lunch break was taken.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1                   A F T E R N O O N   S E S S I O N

2                   (On record: 1:04 PM)

3                   DIRECTOR ALEXEEFF: Hello, everyone. Why don't  
4 we come back to order after lunch, and I'll turn it over  
5 to Dr. Gold.

6                   CHAIRPERSON GOLD: Thank you. Welcome back,  
7 everybody.

8                   So everybody should have received the materials  
9 that Dr. Woodruff prepared. And I think what the plan  
10 will be is maybe she can walk us through it quickly to --  
11 pointing out any highlights that we didn't have from  
12 before. Then I think we'll ask for any public comments on  
13 what she has distributed, and then the Committee will  
14 discuss it. So that's sort of the order for this  
15 particular chemical and these materials.

16                   So Dr. Woodruff, you want to --

17                   COMMITTEE MEMBER WOODRUFF: Yeah, we're talking  
18 about the -- yes, yes. We're just --

19                   CHAIRPERSON GOLD: We have the capability to  
20 display them, correct?

21                   COMMITTEE MEMBER WOODRUFF: Right. Okay. Why  
22 don't we start with -- yeah, we can start with the table.  
23 That's fine.

24                   We'll start with the ugliest thing first.

25                   Is it up?

1           Oh. Okay. Okay. Great. So thanks -- thank  
2 you, OEHHA for doing the search. And I -- which I  
3 appreciated that you had it documented in the back. One  
4 comment aside, is that it would be useful to also have the  
5 search terms listed when you do the presentations, so we  
6 can see what's in there, and see if there are -- I did see  
7 some email traffic about an additional reference that was  
8 found. I don't know how it is was found, and so -- and my  
9 other recommendation about the search was to also check --  
10 I don't know if you checked the papers and then looked to  
11 see if there were additional papers listed that you didn't  
12 capture in your search strategy, but I'm going to -- I  
13 went from the assumption that you captured all the papers  
14 that were relevant to the question of developmental  
15 toxicity.

16           And since, as I mentioned before, there were  
17 little to no papers -- little to no data related to the  
18 female and male reproductive out -- endpoints, I thought  
19 it would be helpful to look a little bit more beyond the  
20 table that was given to us in the handouts on the  
21 developmental endpoints. And so we -- this is a table  
22 which extracts some of the key data from the papers that  
23 are relevant to either -- these I think are all related to  
24 the malformations, so there's also some additional  
25 information related to birth weight, which is in the

1 graphics.

2           And just to -- this pretty much has similar  
3 information to what you -- what is in the OEHHA tables  
4 with a little bit more -- its laid out just slightly  
5 differently in terms of up -- until the part that is  
6 yellow in the headline -- in the headline -- in the first  
7 line in the header. It could be a headline. We have the  
8 things that are extracted from the paper.

9           So -- and then also this source on the left is  
10 just a reference for us. If we want to go back and look  
11 at the numbers from the paper, it tells you which table in  
12 the paper it came from, the route of administration which  
13 was -- questioned the control, the number in the  
14 treatment, the sample, the outcome, the incidence, which  
15 was sometimes provided in the paper, but can be calculated  
16 from the treatment -- the number who have the treatment  
17 and then the control, the doses, the units.

18           And then -- I can't move this -- but at the  
19 bottom of this document, you can use these to calculate an  
20 odds ratio, which was -- is useful because it's a little  
21 bit hard to interpret these numbers on a relative -- the  
22 incidence numbers on a relative scale. And the  
23 calculation of an odds ratio is very standard in  
24 epidemiology studies. And we use that same tool here.  
25 And you -- it also gives you the confidence limits.

1           Can you go to the -- yeah, I have no control over  
2 this, so...

3           And the equations are given on the bottom, so  
4 it's clear how we did the calculations. I think -- is  
5 there any more at the bottom? I can't remember.

6           Yes. And then this tells you what's the  
7 treatment group and the formulas.

8           CHAIRPERSON GOLD: Can I ask one question.

9           COMMITTEE MEMBER WOODRUFF: Yeah.

10          CHAIRPERSON GOLD: That would be me over here.

11          So the 95 percent confidence intervals. These  
12 are on the odds ratios?

13          COMMITTEE MEMBER WOODRUFF: Yeah, right up. See,  
14 they're right here.

15          CHAIRPERSON GOLD: So there are a couple of them  
16 that have minus signs in front of them.

17          COMMITTEE MEMBER WOODRUFF: Keep going up. Where  
18 do you see that?

19          CHAIRPERSON GOLD: At the very top actually.  
20 Maybe -- am I looking at the wrong table?

21          DIRECTOR ALEXEEFF: No, is it minus 0.95 or is  
22 that a typo?

23          COMMITTEE MEMBER WOODRUFF: Where are you  
24 looking?

25          CHAIRPERSON GOLD: The very first.

1 COMMITTEE MEMBER WOODRUFF: Oh, oh. I see  
2 there's -- that's just a typo.

3 CHAIRPERSON GOLD: And if you go down a couple  
4 where it's --

5 COMMITTEE MEMBER WOODRUFF: Sorry.

6 CHAIRPERSON GOLD: -- 1.61 is the odds ratio  
7 minus 2.09. So I have two issues with that, the minus  
8 sign and the fact that the lower confidence interval is  
9 higher than the point estimate.

10 COMMITTEE MEMBER WOODRUFF: Yeah. So let me just  
11 look at this. This paper was a little bit hard to deal  
12 with, because the numbers were funny. I'm just looking  
13 at -- this one was -- I have some notes to myself on this  
14 other -- yeah, this one we might look at a little bit  
15 differently, because there -- they have -- if you look at  
16 this one -- let's see Bui. If you look at the treatments,  
17 they don't have a control group here, so it's -- is that  
18 the right one? Yes.

19 So it's a little bit hard to -- that one is --  
20 has a little bit more uncertainty in it because of the  
21 control issue, control group's issue.

22 CHAIRPERSON GOLD: Well, the line above it looked  
23 like --

24 COMMITTEE MEMBER WOODRUFF: And it basically  
25 crosses a line of no effect. So you can see that in this

1 chart. Where is Bui?

2 COMMITTEE MEMBER ROCCA: Can I ask a question?

3 COMMITTEE MEMBER WOODRUFF: Yeah.

4 COMMITTEE MEMBER ROCCA: Yeah. This is Meredith  
5 Rocca. I think there might be a methodological issue that  
6 maybe is giving some of these results that don't seem to  
7 jive here. And that's that the N on these is all by the  
8 implant or by the embryo in most of these, as opposed to  
9 on the litter.

10 COMMITTEE MEMBER WOODRUFF: Right.

11 COMMITTEE MEMBER ROCCA: And that's going to give  
12 you erroneous conclusions.

13 COMMITTEE MEMBER WOODRUFF: Well, you know, some  
14 of these are based on the information -- some of them are  
15 based on the litter, some of them are based on the number  
16 of implants, and some of them are based on the data that  
17 we have in the table. So some of these, also you'll  
18 note -- if you can go to the risk of bias table, picture.

19 I mean, part of the change with looking at these  
20 studies -- so the goal in this was to try and put these on  
21 a relative scale. Like, those aren't -- these aren't  
22 supposed to be exact odds ratios. The goal is to put them  
23 to like look and see if we can get an idea about the  
24 incidence relative to the controls -- the effects in the  
25 treated related to the controls, because, in some ways,

1 it's a little hard to look across all these studies and  
2 try and decide what the outcome is.

3 So one of the other things is that because we  
4 have a little bit of a problem with some of the studies in  
5 terms of incomplete outcome data, meaning that we don't  
6 always know exactly the number -- so the Bui I think was  
7 the one where we only had -- right, we only have doses for  
8 certain controls. This leads us to have to look at these  
9 by the numbers that are reported in the papers.

10 CHAIRPERSON GOLD: So when you said before no  
11 controls, what you mean is no current --

12 COMMITTEE MEMBER WOODRUFF: There's no zero --  
13 there's no zero dose. There's a low dose and then there's  
14 a high dose -- or I don't know if that's a high, but  
15 additional dose in the Bui paper.

16 CHAIRPERSON GOLD: Anyway. I think a couple of  
17 the numbers maybe need to be checked.

18 COMMITTEE MEMBER WOODRUFF: Yeah. That's true.

19 CHAIRPERSON GOLD: So maybe before it gets fully  
20 entered in the record, can she double-check these and make  
21 sure that they're correct.

22 COMMITTEE MEMBER ROCCA: Can I make another  
23 suggestion?

24 CHAIRPERSON GOLD: Yes.

25 CHIEF COUNSEL MONAHAN-CUMMINGS: If we want to

1 change -- I'm sorry. If you're going to change it, what  
2 we'd do is leave this one in the record and then show  
3 another one that's amended.

4 CHAIRPERSON GOLD: Okay. That's fine.

5 COMMITTEE MEMBER ROCCA: Yes, I was hoping to  
6 make a suggestion. I think this discussion is very  
7 relevant to the discussion on the epidemiology data  
8 presentation. And I was going to say perhaps we could  
9 combine those two as to what would be a more robust method  
10 of evaluating all the studies together and not go through  
11 these line by line right now.

12 CHAIRPERSON GOLD: That's fine. I mean, that's  
13 going to be a discussion, if we get to it today, later.  
14 So that's fine.

15 So is there anything else that you want to say  
16 before we ask --

17 COMMITTEE MEMBER WOODRUFF: No.

18 CHAIRPERSON GOLD: Okay. So Dr. Faber, in  
19 particular, if you wanted to comment on sort of these new  
20 handouts that we have.

21 DR. FABER: Thank you for the opportunity to  
22 comment. Could we bring up Table 1 again, please. The  
23 dose levels for the Ritter study are incorrect. The dose  
24 levels should be 1 or 2 ml per kilogram, which was  
25 actually 900 or 1,800 milligrams per kilogram --

1 COMMITTEE MEMBER WOODRUFF: Which one?

2 DR. FABER: Ritter, the first two entries. It's  
3 not 6.25 and 12.5 milligrams per kilogram. It's 900 and  
4 1,800.

5 COMMITTEE MEMBER WOODRUFF: Table 1.

6 DR. FABER: I don't know if that affects your  
7 odds ratio calculations at all or not?

8 COMMITTEE MEMBER WOODRUFF: Yeah. The data is  
9 taken from Table 1, so you can see it in here.

10 CHAIRPERSON GOLD: I don't think it will change  
11 the odds ratios --

12 COMMITTEE MEMBER WOODRUFF: No.

13 CHAIRPERSON GOLD: -- but it might change the  
14 inferences because of the dosage levels.

15 DR. FABER: Right. Right.

16 The other point I was going to make is that this  
17 still doesn't address the point of maternal toxicity. And  
18 maternal toxicity in these studies is a very different  
19 quality. In fact, the Ritter paper and the two Pennanen  
20 papers were evaluated by the OECD SIDS Program, as well as  
21 by the REACH registration process within ECHA. And all of  
22 the member states within the EU have agreed that these  
23 three studies are extremely poor quality, because of their  
24 lack to collect maternal toxicity data, or the way that it  
25 was presented and reported.

1 So what I would like --

2 COMMITTEE MEMBER WOODRUFF: The Pennanen study --

3 DR. FABER: What I would request --

4 COMMITTEE MEMBER WOODRUFF: What are the other  
5 two?

6 DR. FABER: -- is that discussion occur around  
7 the maternal toxicity influence on these developmental  
8 parameters and specifically the work that was done in Dr.  
9 Carl Keen's lab, and the way that -- that's the Bui paper.  
10 And the way that it would have an impact upon these  
11 developmental outcomes.

12 Thank you.

13 COMMITTEE MEMBER WOODRUFF: I'm sorry, the three  
14 studies were Pennanen, not -- we didn't do an odds ratio  
15 for that -- Bui and what was the other one?

16 DR. FABER: No, no, no. The three studies that  
17 are very poor quality are Ritter, 1987, where Ed Ritter at  
18 Cincinnati did not collect information on maternal  
19 toxicity. In fact, when we tried to replicate that study  
20 in Carl's lab at UC Davis, we were not able to --

21 COMMITTEE MEMBER WOODRUFF: Right. Okay.

22 DR. FABER: -- primarily because the animals did  
23 not recover within 24 hours. They actually had narcosis  
24 for 24 hours.

25 The second two studies are the Pennanen papers,

1 studies out of Poland, and those are on your next page.

2 COMMITTEE MEMBER WOODRUFF: Right. We  
3 don't -- they're not in the graphics.

4 DR. FABER: So anyway, those are my comments as  
5 to it doesn't really address the maternal toxicity, and  
6 how it may have an effect on the developmental outcomes,  
7 and, in fact, the mechanism of action that Carl showed in  
8 his laboratory. And again, if you have any additional  
9 questions, I'm here to answer them.

10 Thank you.

11 CHAIRPERSON GOLD: Thank you. Any questions for  
12 Dr. Faber before he sits down?

13 Yes, Dr. Rocca.

14 COMMITTEE MEMBER ROCCA: Just a comment that you  
15 may be able to address. If a baby has a malformation or  
16 is stillborn because of it not getting enough of zinc  
17 because it didn't get it from its mother, does that really  
18 make any difference to whether or not it has a  
19 malformation?

20 So I think knowing the mechanism is important,  
21 but I think -- still think it's a developmental toxicant.

22 DR. FABER: Yes. And the reason it's important  
23 is because zinc deficiencies in the human population is  
24 almost unheard of. There's been certain instances in  
25 Sub-Saharan Africa in cases of severe malnutrition, where

1 in fact they become zinc deficient, and even then it's  
2 marginal.

3 So this is not an experience that you have in a  
4 human population for the most part.

5 COMMITTEE MEMBER WOODRUFF: Right. So the one  
6 paper that is related to the zinc is the Bui paper. And I  
7 will note that when we looked at this paper -- first of  
8 all, there was a relationship for those that were in the  
9 non-zinc -- that didn't have the -- that were not zinc  
10 treated. And also, this paper had some quality issues,  
11 because it didn't appear that it was -- the animals were  
12 randomized.

13 So I'm not asking you a question. I'm just  
14 making a statement.

15 DR. FABER: Do you want me to respond?

16 COMMITTEE MEMBER WOODRUFF: No.

17 CHAIRPERSON GOLD: Are you finished, Dr.  
18 Woodruff?

19 COMMITTEE MEMBER WOODRUFF: Um-hmm.

20 CHAIRPERSON GOLD: Then you may respond.

21 DR. FABER: The animals were randomized. It  
22 didn't appear within the publication, but it did appear  
23 within the report. Dr. Keen's lab is very well versed in  
24 how to conduct these studies, and they were randomized.  
25 That's a basic principle of conducting these type of

1 studies.

2 COMMITTEE MEMBER WOODRUFF: Right, but we have  
3 the published paper. And so when we're evaluating study  
4 quality, we can only look at what's in the published  
5 paper.

6 CHAIRPERSON GOLD: The point made. I think we  
7 get it. I think -- actually, it's a comment that applies  
8 to a number of the papers, that sometimes the details,  
9 whether it's randomization, or blinding, or looking at  
10 dose response, is missing. And it -- just because it's  
11 not there, doesn't mean they didn't do it, but we just --  
12 we can only evaluate what's there, so we don't know if  
13 they did it.

14 COMMITTEE MEMBER WOODRUFF: All right. So in  
15 this situation, when we were evaluating, looking at those  
16 things like randomization and the Ritter paper was also --  
17 did not report randomization in their paper. If they  
18 didn't report it, then you're right we aren't quite sure,  
19 but they still get some marking as potential for not  
20 randomizing. I'll just note that a lot of these -- or  
21 these are based on empirical data that comes from the  
22 clinical literature, in terms of looking at some of these  
23 experimental design features and how they might influence  
24 study outcome.

25 CHAIRPERSON GOLD: Okay. Dr. Pessah.

1           COMMITTEE MEMBER PESSAH: Just one short  
2 statement, in that you mentioned that zinc deficiency is  
3 rarely seen. It's not just the amount of zinc. It's the  
4 dynamics of zinc in various compartments. I just want  
5 to --

6           CHAIRPERSON GOLD: Are there any other public  
7 comments at this time about this compound?

8           So, Dr. Woodruff, would you like, since we did  
9 take a break, to sort of summarize your position on this  
10 particular -- ethylhexanoic acid?

11           COMMITTEE MEMBER WOODRUFF: Yes. Let's see. So,  
12 like ethylhexanoic acid. We went across -- like I said,  
13 evaluated each of the studies the same way in terms of  
14 assessing different elements that may influence an  
15 internal validity of the findings. I think we'd found  
16 that there was, while the experimental design is --  
17 produces the most high quality evidence in terms of being  
18 able to better identify effects from an exposure to an  
19 environmental chemical, so that means the toxicology  
20 studies inherently are of better design than perhaps -- or  
21 of higher -- can have higher internal validity than an  
22 observational epidemiology study.

23           There were a number of factors that limited the  
24 quality of the study. Some of them have been noted, in  
25 terms of randomization. It was also unclear about whether

1 there was reporting on blinding. And the outcome data was  
2 not always consistently reported. Though many of the  
3 studies did report randomization, and they all reported on  
4 the outcome of interests, in this case, the maternal  
5 malformations and also the birth weight.

6 So in terms of looking at the effects, the other  
7 factors that influence how I evaluate the strength of the  
8 evidence for this -- the two outcomes I was focusing on  
9 were birth weight and malformations. I looked at the  
10 issues of were there dose response, in terms of the doses  
11 that were evaluated in the studies, what was the -- were  
12 there positive versus negative findings in the study.

13 So I started looking at the overall pattern of  
14 the effect on the -- of the relationship between the  
15 exposures and the effects that were evaluated. And then  
16 somewhat -- so much a little bit about the number of  
17 animals in the study.

18 And so in terms of the birth weight, there was --  
19 really, the findings were relatively consistently did not  
20 find an association with exposure to this outcome. While  
21 there are some methodological issues related to some of  
22 the studies in the -- that were evaluated in terms of the  
23 tox studies, they all -- with the exception of one  
24 outcome, and we can discuss the relative merits of looking  
25 at different statistical metrics in terms of how to look

1 at whether there was an increase in the observed events.

2 But nonetheless, there was an increase in the  
3 observed events across many different endpoints, and the  
4 question about route of exposure is -- there were  
5 different routes of exposure used in the different  
6 studies. And there was also a dose response seen in a  
7 number of the different studies that were -- where this  
8 was evaluated.

9 There was some maternal toxicity noted in two of  
10 the studies out of the seven that I looked at, in terms of  
11 quantitative estimates. And those were at the high dose  
12 and not at the lower doses.

13 So my conclusion is that it has sufficient  
14 evidence based on that for developmental toxicity, and  
15 that there is insufficient to no evidence for the male and  
16 female reproductive toxicity.

17 CHAIRPERSON GOLD: Okay. Thank you.

18 Does anybody else on the Panel have any comments  
19 or questions?

20 Dr. Rocca.

21 COMMITTEE MEMBER ROCCA: I think based upon the  
22 studies that we did have and the information we have, for  
23 example, in the Hendrickx paper, there was no effect of  
24 malformations in either rats or rabbits, and that's a lot  
25 of the data you have here, whereas in the Narotsky study

1 where it looks on here as if there is more of a chance,  
2 it's not on a per litter unit. And also, there was very  
3 severe maternal toxicity at both doses, making it really  
4 hard for me to interpret that information. So I would  
5 say, at this point, that I'm not clear that there really  
6 is enough here.

7 CHAIRPERSON GOLD: Thank you.

8 Other comments, questions?

9 I mean, one thing I would note is if we look at  
10 your bias table, that the Hendrickx one probably is the --  
11 at least seems to have the least amount of bias, but it  
12 was the most negative study.

13 COMMITTEE MEMBER WOODRUFF: Well, let's just --  
14 no, there were rats in that study, and there were rabbits  
15 in that study, so there were positive findings, not in  
16 every -- for the rats, positive findings for some of the  
17 rabbits, but not every -- at every dose.

18 So if you look at the --

19 CHAIRPERSON GOLD: So those are for malformations  
20 not for birth weight, you're talking about.

21 COMMITTEE MEMBER WOODRUFF: Right, I'm talking  
22 about malformations not birth weight.

23 CHAIRPERSON GOLD: Okay. So would you rank that  
24 as among the better of the conducted studies as near as  
25 you can tell from what's written?

1 COMMITTEE MEMBER WOODRUFF: Oh, yes.

2 I would definitely rank that one among the better  
3 ones. Though -- yeah.

4 COMMITTEE MEMBER ROCCA: I've got the paper open  
5 at the moment. And for both rats and rabbits it states  
6 there were no differences in the indices of external  
7 visceral or skeletal malformations.

8 COMMITTEE MEMBER WOODRUFF: Right. Are you  
9 reading their conclusions?

10 COMMITTEE MEMBER ROCCA: No, I'm reading their  
11 stats, where there were no differences.

12 COMMITTEE MEMBER WOODRUFF: This stats. Which  
13 table are you on?

14 COMMITTEE MEMBER ROCCA: I don't think they have  
15 it in the table for malformations, so it is within the  
16 text for malformations, but it is statistical.

17 COMMITTEE MEMBER WOODRUFF: Yeah, but -- so when  
18 I -- right. So we take the data from -- so we're looking  
19 at -- so here's Table 3 was where we have the data, and  
20 Table 7. So if they -- some of the things it's a little  
21 challenging sometimes to, in a lot of these papers, is  
22 people will write things in the text, but it won't  
23 necessarily be in the tables. So it's probably  
24 empirically better to take what's in the table.

25 So this data in here is just from the tables. I

1 don't -- the author's conclusions are -- unless it's  
2 reported.

3 CHAIRPERSON GOLD: So it's interesting in Table 3  
4 that there's no comment on statistical significance or  
5 dose response or anything like that.

6 COMMITTEE MEMBER WOODRUFF: There is no comment,  
7 but that doesn't mean we can't also look at the data,  
8 right?

9 CHAIRPERSON GOLD: No. I'm looking at the data  
10 and wondering why they didn't do a dose response.

11 COMMITTEE MEMBER WOODRUFF: Well, some of these  
12 studies are old too, and it's not -- this is not -- I  
13 mean, just to be fair, this is not the typical way that  
14 toxicologists actually look at this data. This is a  
15 different way to look at the data. You know, it's more  
16 akin to how maybe an epidemiologist might look at the  
17 data. So this is definitely, you know, not -- what's the  
18 date of this paper?

19 CHAIRPERSON GOLD: Ninety-three.

20 COMMITTEE MEMBER WOODRUFF: Right. So, and  
21 it's -- you know, that's -- how long ago is that, 24  
22 years?

23 CHAIRPERSON GOLD: But we knew, there's a trend  
24 test done.

25 COMMITTEE MEMBER WOODRUFF: I know. I'm not -- I

1 don't want to -- It's just that it's not --

2 CHAIRPERSON GOLD: Anyway. I've made my point.

3 Any other comments from other people?

4 Okay. So are we ready to vote?

5 Ready? Going, going?

6 Okay. The first question. Has 2-ethylhexanoic  
7 acid been clearly shown, through scientifically valid  
8 testing, according to generally accepted principles to  
9 cause developmental toxicity? If you believe yes, please  
10 raise your hand?

11 (Hands raised.)

12 CHAIRPERSON GOLD: Okay. Noes?

13 (Hands raised.)

14 CHAIRPERSON GOLD: One, two -- two?

15 Abstentions?

16 (Hands raised.)

17 CHAIRPERSON GOLD: One, two.

18 Okay.

19 DIRECTOR ALEXEEFF: So I only counted five.

20 CHAIRPERSON GOLD: No, I counted six.

21 DIRECTOR ALEXEEFF: Oh, two, two, two.

22 CHAIRPERSON GOLD: Has 2-ethylhexanoic acid been  
23 clearly shown through scientifically valid testing  
24 according to generally accepted principles to cause female  
25 reproductive toxicity? If you believe so, please raise

1 your hand for yes.

2 (No hands raised.)

3 CHAIRPERSON GOLD: Zero.

4 No?

5 (Hands raised.)

6 CHAIRPERSON GOLD: One, two, three, four, five,  
7 six.

8 No abstentions.

9 Has 2-ethylhexanoic acid been clearly shown  
10 through scientifically valid testing, according to  
11 generally accepted principles to cause male reproductive  
12 toxicity? If yes, please raise your hand?

13 (No hands raised.)

14 CHAIRPERSON GOLD: Zero.

15 No?

16 (Hands raised.)

17 CHAIRPERSON GOLD: Three -- six.

18 No abstentions.

19 So according to these results, we would not list  
20 the 2-ethylhexanoic acid. We'd remove it from the list.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Correct.

22 CHAIRPERSON GOLD: Okay. Very good. Thank you,  
23 everybody.

24 Next is ethyl-tert-ether -- butyl ether, sorry,  
25 ETBE. And I believe Dr. Baskin is taking the lead --

1 sorry, we're doing staff first. My apologies.

2 (Thereupon an overhead presentation was  
3 presented as follows.)

4 CHAIRPERSON GOLD: So this is Dr. Moran, correct?

5 DR. MORAN: Okay. Good afternoon. I will be  
6 presenting the data on ethyl-tert-butyl ether, abbreviated  
7 ETBE.

8 A comprehensive literature research resulted in  
9 six references with data on the potential reproductive  
10 toxicity of ETBE in laboratory animals. Among them were  
11 two toxicological studies with reproductive endpoints in  
12 rat and mice, two developmental studies in rat and rabbit,  
13 and one one-generation reproductive study in rats.

14 In addition to these, one study with no positive  
15 result for ETBE was unintentionally omitted in the summary  
16 table, and I will present at the end of this presentation.

17 --o0o--

18 DR. MORAN: A toxicological report by Medinsky et  
19 al. was conducted in males and females, five weeks old  
20 Fischer rat and CD-1 mice. Animals were treated by  
21 inhalation with ETBE at 0, 500, 1,750, or 5,000 ppm for  
22 six days -- six hours a day, five days a week for 13  
23 weeks, and euthanized on the day after the last exposure.

24 The endpoints were body weight and relevant  
25 reproductive organs, pituitary, testes, epididymis,

1 prostate, seminal vesicles, ovaries, vagina, uterus were  
2 collected for a gross pathology and histopathology.

3 The results. For rats, there were an increased  
4 percentage of seminiferous tubules with spermatocyte  
5 degeneration and decreased spermatocytes in tubules at  
6 1,550 and 5,000 ppm. There were no reported effects in  
7 female rats or in mice.

8 --o0o--

9 DR. MORAN: In a toxicological study in rats by  
10 de Peyster in 2009, adult males Fischer rats where treated  
11 for 14 days with ETBE by gavage at 600, 1,200 or 1,800  
12 milligrams per day or controls. The endpoints were organ  
13 weight, and testes were -- organ weights, from testes  
14 accessory sex organs, and testis were fixed for  
15 histopathology. Plasma concentration of testosterone and  
16 estradiol were assessed radioimmunoassay.

17 In an in vitro study, by the same author,  
18 isolated Leydig cells from adult Sprague-Dawley rats were  
19 treated with 0, 50, or 100 millimolar of ETBE. The  
20 endpoint for this was testosterone release into the  
21 culture medium.

22 The results were, in general, no effects in any  
23 of the organs studied. The increased circulating  
24 estradiol at 1,200 and 1,800 milligrams per kilo per day  
25 in the Fischer rats, and low testosterone production at 50

1 and 100 nanomolar ETBE in the Sprague-Dawley rat isolated  
2 Leydig cells in vitro.

3 --o0o--

4 DR. MORAN: In a developmental study by Asano et  
5 al., pregnant rabbits were treated orally by catheter with  
6 ETBE at 0, 100, 300 or 1,000 milligrams per kilo per day  
7 daily from gestational day 6 to 27 in olive oil. Animals  
8 were euthanized on gestational day 28.

9 The endpoints were number of corpora lutea,  
10 embryo-fetal deaths, live fetuses and their placentas were  
11 observed for external malformation and gross  
12 abnormalities, live fetuses were weighed and observed  
13 macroscopically for organ abnormalities and skeletal  
14 malformations, body weight and food consumption were  
15 measured in parents.

16 Results are as follows:

17 There were no significant differences in the  
18 number of corpora lutea or implantations, and no  
19 differences in fetal external malformations, as neither  
20 any other significant differences were found.

21 --o0o--

22 DR. MORAN: In a one generation reproductive  
23 study by Fujii et al., males and females, five weeks old,  
24 Sprague-Dawley rats were treated orally with 0 olive oil  
25 vehicle or 100, 300, or 1,000 milligrams per kilo per day

1 ETBE. Animals were treated daily for 10 weeks, mated, and  
2 then the males treated for an additional 16 weeks and  
3 females for 17 weeks.

4 The endpoints were:

5 For the F0, body weight, food consumption, and  
6 number of implantation sites. Male were examined for  
7 sperm parameters.

8 In the F1, during lactation, daily examination  
9 for clinical science and mortality. And one animal per  
10 sex, per litter was selected to observe sexual  
11 developmental, preputial separation or vaginal opening,  
12 one testis and epididymis per male was fixed for  
13 histopathology examination.

14 Results were:

15 Gestation was significantly prolonged in the  
16 1,000 milligrams per kilo per day group; no differences  
17 were found in any of the studied parameters for the F1  
18 generation; no statistically significant differences in  
19 the indices of copulation, fertility, gestation or  
20 delivery; normal estrous cycles in all groups; and, no  
21 significant differences in the number of pups delivered.

22 --o0o--

23 DR. MORAN: In the developmental toxicity study  
24 by Gaoua of 2004, female Sprague -- sorry I didn't change  
25 it -- female Sprague-Dawley rats were treated by gavage

1 from day 5 to 19 after mating with ETBE at 0 control, 250,  
2 500, or 1,000 milligrams per kilo per day. Animals were  
3 sacrificed at day 20 post mating.

4 The endpoints were:

5 Clinical signs and mortality, body weight and  
6 food consumption, weight of gravid uterus, number of  
7 corpora lutea, implantation sites, early and late  
8 resorptions, dead and live fetuses. The fetuses were  
9 weighed, sexed, soft tissue, and skeletal examination.

10 Results were the lower maternal body weight gain  
11 over the treatment period, and no treatment-related  
12 effects on gestational parameters or fetuses were found.

13 --o0o--

14 DR. MORAN: Finally, this is the study that was  
15 omitted in the summary table of the HID that was already  
16 presented for TAME. This is a study of female  
17 reproductive toxicity by Berger and Horner that consisted  
18 of an in vivo treatment of females with an in vitro  
19 fertilization assessment. Female Sprague-Dawley rats were  
20 exposed to 0 or 0.3 ETBE in drinking water for two weeks  
21 prior to oocyte harvest. Exposed females were induced to  
22 ovulate and the ovocytes collected and incubated with  
23 diluted sperm from untreated males for 20 hours.

24 The results were no effect on percentage of  
25 oocytes fertilized, and no effect on number of penetrated

1 sperm per oocyte.

2 That concludes the presentation.

3 Thank you.

4 CHAIRPERSON GOLD: Thank you very much. So now  
5 we'll go to public comment. Dr. Faber I believe you have  
6 a comment.

7 DR. FABER: Once again, thank you for the  
8 opportunity. I'm here on behalf Lyondell Chemical  
9 Company.

10 ETBE is an unusual case in today's  
11 considerations, in that while the listing -- it's come up  
12 because of the change in the federal hazard communication,  
13 as I understand it. Another important point is that the  
14 2013 ACGIH review of ETBE no longer considers it to be a  
15 male reproductive toxicant.

16 The original listing in 2001 in ACGIH was based  
17 upon the early Medinsky study that used an incorrect  
18 fixative to fix the tissues. And we considered this not  
19 to be a scientifically just valid testing according to  
20 generally accepted principles, and that is within the EPA  
21 test guidelines as well as the OECD test guidelines.  
22 Formalin fixative is not considered adequate, especially  
23 in the case of the rat.

24 That led to continued testing. As someone had  
25 brought up how come we don't see follow-up tests for these

1 chemicals? This is exactly what happened in this  
2 instance, in that studies with that rat strain as well as  
3 an additional rat strain that's commonly used in  
4 reproductive toxicity testing were compared. And when the  
5 correct fixative was used, there is no effect in either  
6 rat strain to any reproductive tissues.

7           Finally, there's an excellent review that's been  
8 prepared by Ann de Peyster of UC San Diego on all of the  
9 studies that impact reproductive and developmental  
10 toxicity for ETBE. She had access to all the published  
11 and unpublished studies when she prepared this review.  
12 And I believe it's been provided to all of you.

13           The conclusion of Dr. de Peyster's interpretation  
14 of the data I think is pertinent to the issue at hand, and  
15 I urge you to read and consider it.

16           Thank you again. And as always, I will answer  
17 any questions you might have.

18           CHAIRPERSON GOLD: Thank you. Are there any  
19 questions for Dr. Faber?

20           Dr. Baskin, or do you want --

21           Okay. You'll be sticking around.

22           DR. FABER: Yeah.

23           CHAIRPERSON GOLD: So after he summarizes, can he  
24 ask you a question?

25           DR. FABER: Certainly.

1           CHAIRPERSON GOLD:   Okay.   Anyone else have  
2 questions for Dr. Faber?

3           So I'll turn it over now to Dr. Baskin.   I jumped  
4 the gun a little bit before.

5           COMMITTEE MEMBER BASKIN:   Thank you, Dr. Moran.  
6 That was an excellent summary.   And there's also an  
7 excellent summary from the public statement by Marcy  
8 Banton that's in our book, which summarizes Dr. Moran's  
9 summary, so to speak.

10           Bottom line, there are six animal studies if  
11 you're looking at primary research, rabbits, rats, and  
12 mice.   And the one study which showed a potential toxic  
13 effect on the testes, as mentioned, was the Medinsky study  
14 from 1999.   I actually don't have any issues with the  
15 fixation formalin, and we discussed that in the early  
16 case, but I do have issues in that there's no histology  
17 shown in the paper.   So that's a little weak from my  
18 perspective.   I want to see some data.   Not enough data  
19 was presented for me to be definitively able to say that  
20 this was toxic for reproductive health.

21           And the doses where there was some toxicity shown  
22 in the table were the higher doses, not the lower doses.  
23 So that's really the only evidence that potentially, in my  
24 mind, could be significant and it's not enough evidence,  
25 in my mind, to be scientifically valid.

1 I do have a question, maybe you could answer --  
2 I'm sorry, I forgot your name.

3 DR. FABER: Will.

4 COMMITTEE MEMBER BASKIN: Will.

5 CHAIRPERSON GOLD: Dr. Faber.

6 COMMITTEE MEMBER BASKIN: You did mention a paper  
7 where they repeated that. Did I have access to that paper  
8 or is that --

9 DR. FABER: That was actually a probe study that  
10 was done for the multi-generation study, and that was  
11 not -- unfortunately, not published, other than in Dr. De  
12 Peyster's report.

13 COMMITTEE MEMBER BASKIN: So negative data is not  
14 published, but in this case it would have been nice to  
15 have published it. I appreciate that, because we didn't  
16 have access to that.

17 COMMITTEE MEMBER WOODRUFF: Well, I don't  
18 comment --

19 CHAIRPERSON GOLD: Excuse me. Dr. Woodruff.

20 COMMITTEE MEMBER WOODRUFF: We can't really --

21 COMMITTEE MEMBER BASKIN: Can I just finish up my  
22 whole summary?

23 COMMITTEE MEMBER WOODRUFF: Oh, yeah. Go ahead.

24 COMMITTEE MEMBER BASKIN: Okay. All right. So  
25 that's the Medinsky study. Of the other studies, one

1 indirectly and two directly also looked at the testes, and  
2 the study by Dr. Fujii looked at the testes and saw no  
3 change. However, that study was done a little bit  
4 differently in that it was -- this chemical, ETBE, which  
5 is a fuel additive -- and I guess it's ubiquitous and it's  
6 very important evidently. I didn't know anything about  
7 it, but it's probably in all the gasoline that we use.  
8 There is a fair amount of human data you can get from  
9 industrial studies where they had volunteers drink it. I  
10 don't know they got them to drink it, but they drank it.  
11 And in two days all the metabolites were out of their body  
12 and they seemed to have survived. I'm not sure I would  
13 have been the one to have signed up for that study.

14 (Laughter.)

15 COMMITTEE MEMBER BASKIN: That was done in rats  
16 extensively too and the metabolites were also out of their  
17 system based on, you know, looking at urine in both -- and  
18 blood in both human and rats, but there's no scientific  
19 human data, other than you can get from industry, at least  
20 that I could find. And hence again, we're stuck with the  
21 animal studies.

22 So getting back to the Fujii paper, this is stuff  
23 that you would imagine would be inhaled if you were at the  
24 gasoline pump. It wouldn't be ingested, unless you're  
25 somewhere where you really need a drink, so to speak, but

1 I don't think that's pertinent.

2           So the study from -- the Fujii study where they  
3 did look at testes histology and showed no changes was  
4 actually gavage. So that was really somebody drinking it  
5 as opposed to inhaling it. So they're not exactly  
6 analogous, but nevertheless, they didn't show any changes  
7 in the testes. And the Berger study indirectly looked at  
8 spermatogenesis and showed no effect.

9           So summarizing. No evidence that I found of  
10 developmental issues. The female reproductive issues were  
11 not assessed, or they didn't find any when they indirectly  
12 looked. And in the male, there's one paper that I found  
13 quite frankly a little bit suspect.

14           CHAIRPERSON GOLD: Okay. Thank you. Now, Dr.  
15 Woodruff.

16           COMMITTEE MEMBER WOODRUFF: So did you -- these  
17 human studies, they didn't look at -- did they -- do you  
18 look for them? Were they not relevant to the endpoints  
19 we're talking about today? I'm just sort of curious.

20           CHAIRPERSON GOLD: So you're asking Dr. Faber or  
21 are you asking --

22           COMMITTEE MEMBER WOODRUFF: I'm asking Dr.  
23 Messan -- Moran.

24           DR. MORAN: Yeah. You're talking about the  
25 studies presented in the review by de Peyster?

1           COMMITTEE MEMBER WOODRUFF: Well, I guess I'm  
2 asking -- you raise that there are some human studies?

3           COMMITTEE MEMBER BASKIN: No, I did. Can I  
4 answer -- help answer that. So I'm a member of the DART  
5 commission. I'm asked to make a -- I'm asked to know  
6 whether this chemical is dangerous or not. So quite  
7 frankly I don't -- I want to know what this chemical is,  
8 so I take it upon myself to look up and see what this  
9 chemical is, find out if I'm inhaling it, drinking it, or  
10 it's in my water, or it's in my kid's water or my cat's  
11 water. And it turns out that this one is all over the  
12 place, I think. At least from what I can tell, it sounds  
13 pretty ubiquitous.

14           So when you go on-line, you get all kinds of  
15 stuff from industry, and you get all types of stuff from  
16 OSHA, and from -- New Jersey, in fact, seems to really  
17 have a lot of literature on this, which you probably know  
18 more about than I do, because if somebody inhales this and  
19 you end up at San Francisco General Hospital, the poison  
20 control has to be able to tell you what this chemical is  
21 and what to do.

22           And that's where I found the industrial data on  
23 drinking this stuff, where they got humans to drink it.  
24 If you do a Medline search on the chemical, you won't find  
25 it that way. Okay. So that's why it's not in the report

1 from our esteemed scientists who give us this data, but I  
2 think it's okay for me to figure out what I'm dealing with  
3 here.

4 COMMITTEE MEMBER WOODRUFF: Right. So my  
5 question is -- I mean, I see that there's chamber studies,  
6 exposure studies for ETBE, I just was wondering if they're  
7 look -- because they might not be looking at the -- I'm  
8 wondering if there's any human data that's relevant to the  
9 endpoints we're talking about today?

10 DR. MORAN: Just for consistency, we followed the  
11 procedure that's described in the Appendix A in  
12 association with the library, so we didn't do anything  
13 extra than -- we treat all the chemicals the same way. So  
14 what the library provide us is what we select from there  
15 the reproductive and developmental issue papers. And  
16 those were provided to you in the summary tables.

17 DR. DONALD: And if I could add to that, we would  
18 expect that if there were relevant data in humans, we  
19 would find it through our search strategy. We know it's  
20 not -- you know, that's not absolutely true. There  
21 certainly are times when we miss things. But perhaps Dr.  
22 Baskin could clarify if the studies that he's talking  
23 about actually looked to any reproductive or developmental  
24 endpoints.

25 COMMITTEE MEMBER BASKIN: They didn't. I mean, I

1 have the -- this first study by McGregor is published IN  
2 Toxicology, and it basically -- it was people drinking it  
3 and seeing where it -- what the metabolites were, and they  
4 didn't -- I mean, those are adults. So there's not going  
5 to be developmental stuff, so you wouldn't pick that up in  
6 your normal searches.

7 DR. DONALD: No, we would not expect our search  
8 strategy to identify studies like that.

9 COMMITTEE MEMBER BASKIN: And same with the other  
10 study by Amberg also in Toxicology.

11 COMMITTEE MEMBER PESSAH: Just out of pure  
12 curiosity, the PK study, I guess it was in the volunteers,  
13 did they give half-life, elimination of half-life? You  
14 said a couple of --

15 COMMITTEE MEMBER BASKIN: You're stretching my  
16 scientific knowledge, but I think I happen to actually  
17 print that out, 10.2 to 28.3 hours in humans, 2.6 and 4.9  
18 hours in rats for half-life for urinary metabolites.

19 COMMITTEE MEMBER PESSAH: So somewhere between  
20 half a day and a day.

21 COMMITTEE MEMBER BASKIN: Yeah.

22 COMMITTEE MEMBER PESSAH: So how many times do  
23 people stop at a gas pump, because you want to go five  
24 half-lives, right?

25 COMMITTEE MEMBER BASKIN: Not as many as the rats

1 in the study from 1999 who had it six hours a day --

2 (Laughter.)

3 COMMITTEE MEMBER BASKIN: -- five days a week.

4 And I'm assuming it was five days a week, because they  
5 weren't working on weekends, the humans. If they were  
6 working on weekends, it would have been seven days a week,  
7 so a lot.

8 DR. DONALD: What Dr. Woodruff may be thinking of  
9 is that in our -- the hazard identification materials that  
10 we generally provide, we do usually go into a bit more  
11 detail about pharmacokinetics, metabolism, and so forth.  
12 In preparing these materials, given the time constraints  
13 we had, we did not attempt to provide all of that  
14 information. But if the Committee feels it's important to  
15 have that information, as Carol pointed out, you have the  
16 option of deferring a decision and asking us to provide it  
17 and we will certainly do so.

18 CHAIRPERSON GOLD: Dr. Woodruff.

19 COMMITTEE MEMBER WOODRUFF: I wasn't suggesting  
20 that was necessary. I just was curious, because I want  
21 to -- I mean, I get that there are human studies that have  
22 been done looking at exposures to ETBE, but just whether  
23 they were relevant to the questions we're asking here.

24 But I did, looking at these, have a question if  
25 there is -- the relationship between ETBE and MTBE? What

1 does ETBE metabolize into in the body?

2 DR. MORAN: Primarily to TBA that -- don't ask me  
3 to translate that. I don't remember the real name, but I  
4 remember the acronym for the main metabolite of ETBE. And  
5 I believe it's a common pathway for MTBE and ETBE. That's  
6 as far as I can remember now.

7 COMMITTEE MEMBER WOODRUFF: Right. So I guess  
8 just as a follow-up question is would studies of MTBE be  
9 relevant to ETBE, because they have a common pathway of  
10 metabolism?

11 DR. MORAN: Well, the way -- they always refer to  
12 MTBE effects on all the ETBE papers. But at the end, they  
13 behave quite different. It seems like MTBE is more  
14 clear-cut on the effects, as we can find in the ETBE  
15 studies.

16 COMMITTEE MEMBER WOODRUFF: Right. I guess --  
17 okay. Let me -- so my question is, if they both  
18 metabolize into similar -- or the same products and that's  
19 the chemical that is problematic, would it be helpful to  
20 look at MTBE as a way to get more information about  
21 toxicity for ETBE? That's what I'm asking.

22 DR. MORAN: Jim Donald wants to say something  
23 about this.

24 COMMITTEE MEMBER WOODRUFF: I don't know if it is  
25 or not. I'm just asking.

1 DR. DONALD: Potentially. I don't think we  
2 know, at this point, if the metabolite is the active form  
3 of the chemical. If it was, and it was a common  
4 metabolite, then yes, studies in MTBE potentially would be  
5 informative.

6 CHAIRPERSON GOLD: Go ahead, George.

7 DIRECTOR ALEXEEFF: George Alexeeff. So MTBE was  
8 considered by this Panel years ago, and it was not listed.

9 CHAIRPERSON GOLD: Okay. Do we have any  
10 outstanding remaining comments, questions?

11 Do people feel like they have enough information  
12 to vote now or is this one that you want more information  
13 and want to defer?

14 Ready?

15 Yes?

16 Okay. So we're ready to take a vote.

17 Okay. First question, has ethyl-tert-butyl  
18 ether, ETBE, been clearly shown through scientifically  
19 valid testing, according to generally accepted principles  
20 to cause developmental toxicity?

21 If you believe yes, please raise your hand.

22 (No hands raised.)

23 CHAIRPERSON GOLD: I see no yeses.

24 Just for completeness, how many of you believe  
25 no?

1 (Hands raised.)

2 CHAIRPERSON GOLD: That's four, five, six. So no  
3 abstentions.

4 The second question, has ethyl-tert-butyl ether  
5 been clearly shown, through scientifically valid testing,  
6 according to generally accepted principles to cause female  
7 reproductive toxicity, please signify a yes by raising  
8 your hand.

9 (No hands raised.)

10 CHAIRPERSON GOLD: I see no hands.

11 If your response is no to this, would you raise  
12 your hand?

13 (Hands raised.)

14 CHAIRPERSON GOLD: Six. So no abstentions.

15 And finally, has ethyl-tert-butyl ether been  
16 clearly shown through scientifically valid testing,  
17 according to generally accepted principles, to cause male  
18 reproductive toxicity? Please signify yes, by raising  
19 your hand.

20 (No hands raised.)

21 CHAIRPERSON GOLD: I see none.

22 Just to be complete, if you believe no is the  
23 answer to this, please raise your hand.

24 (Hands raised.)

25 CHAIRPERSON GOLD: Six. And therefore no

1 abstentions.

2           So according to this vote, ethyl-tert-butyl ether  
3 would no longer be listed.

4           CHIEF COUNSEL MONAHAN-CUMMINGS: (Nods head.)

5           CHAIRPERSON GOLD: Okay. Thank you. So the next  
6 item on the agenda -- let me find it. I'm sorry.

7           DR. DONALD: I'm going to present on  
8 p,p'-Oxybis(benzensulfonyl hydrazide).

9           CHAIRPERSON GOLD: Thank you.

10          DR. DONALD: And I expect to set a record for  
11 brevity. Since we identified no relevant studies for this  
12 chemical, we have no data to present.

13          CHAIRPERSON GOLD: I think that is a record. I  
14 don't know if anybody was timing it.

15          Do we have any public comments on this?

16          No public comments.

17          So I'm assigned to this one.

18          COMMITTEE MEMBER BASKIN: Can I ask a question on  
19 how a chemical gets listed if there's no data on it?

20          DR. DONALD: The mechanism for listing, as Carol  
21 explained, was that a threshold limit value had been  
22 established by the American Conference of Governmental  
23 Industrial Hygienists identifying developmental toxicity  
24 as a basis for the TLV. And that was the sole basis that  
25 we could consider under the statutory requirement for

1 listing.

2           However, the background documentation by ACGIH  
3 noted that there was no developmental toxicity data on  
4 this chemical, so it appears that they simply made an  
5 error.

6           CHAIRPERSON GOLD: Okay. So I'll hopefully be  
7 brief as well. So we have no animal studies on  
8 reproductive or developmental toxicity. We have no  
9 epidemiologic studies or reports. And so I believe we  
10 have no studies on which to make a decision. And so I  
11 don't believe that we can say whether there's any  
12 developmental toxicity or female or male reproductive  
13 toxicity.

14           That's my short version. Anybody have any  
15 questions or comments?

16           Dr. Rocca.

17           COMMITTEE MEMBER ROCCA: So are you suggesting  
18 that we don't have enough information, and therefore we  
19 don't vote at all as opposed to -- are we --

20           CHAIRPERSON GOLD: Actually, I wasn't suggesting  
21 that.

22           All right. Dr. Pessah.

23           COMMITTEE MEMBER PESSAH: Do we know what it's  
24 used for? I mean, what are the applications? What are --

25           CHAIRPERSON GOLD: Dr. Donald, do you -- I'm sure

1 I have it. I just can't put my fingers on it right now.  
2 It says it's a blowing agent for sponge rubber and  
3 expanded plastics.

4 DR. DONALD: Yes. Since the use of the chemical  
5 is not directly relevant to the Committee's deliberations,  
6 we did very little background checking on that. That's  
7 all the information we have on it.

8 CHAIRPERSON GOLD: Actually, I think in the  
9 materials from the ACGIH, it says a little bit more about  
10 what it's used for. So if you're really interested, you  
11 can go to that.

12 Okay. Are we ready for a vote?

13 Yes.

14 So the question is, has  
15 p,p'-Oxybis(benzenesulfonyl hydrazide) been clearly shown  
16 through scientifically valid testing, according to  
17 generally accepted principles, to cause developmental  
18 toxicity?

19 Please raise your hand if you think yes.

20 (No hands raised.)

21 CHAIRPERSON GOLD: Please raise you hand if you  
22 think no?

23 (Hands raised.)

24 CHAIRPERSON GOLD: So no abstentions.

25 Has p,p'-Oxybis(benzenesulfonyl hydrazide) been

1 clearly shown through scientifically valid testing,  
2 according to generally accepted principles, to cause  
3 female reproductive toxicity?

4 If you believe yes, please raise your hand.

5 (No hands raised.)

6 CHAIRPERSON GOLD: I see zero. If you believe  
7 no, please raise your hand?

8 (Hands raised.)

9 CHAIRPERSON GOLD: I see six, so no abstentions.

10 And has p,p'-Oxybis(benzenesulfonyl hydrazide)  
11 been clearly shown through scientifically valid testing,  
12 according to generally accepted principles, to cause male  
13 reproductive toxicity?

14 Yes -- signify yes by raising your hand?

15 (No hands raised.)

16 CHAIRPERSON GOLD: I see no yeses.

17 No, please raise your hand.

18 (Hands raised.)

19 CHAIRPERSON GOLD: I see six, so no abstentions.

20 So the decision is that this will no longer be  
21 listed. I think the vote took longer than the discussion  
22 actually.

23 Okay. Onward. So the next item is triglycidyl  
24 triazinetrione and to be presented by -- I'm sorry.

25 DIRECTOR ALEXEEFF: Dr. Iyer.

1 CHAIRPERSON GOLD: Dr. Iyer, I'm sorry. Thank  
2 you.

3 (Thereupon an overhead presentation was  
4 presented as follows.)

5 DR. IYER: Good afternoon. So I'm now going to  
6 be making a presentation on  
7 1,3,5-Triglycidyl-s-triazinetriene, also known as try  
8 triglycidylisocyanurate or TGIC, which is an epoxy  
9 compound, and recent reviews from regulatory agencies --  
10 from regulatory agencies included the one from the  
11 Australian government and one from the Nordic Expert Group  
12 in 2001.

13 --o0o--

14 DR. IYER: The comprehensive search identified  
15 several chromosomal -- chromosome studies on male mice  
16 germinal epithelium on the spermatogonia and  
17 spermatocytes, as well as dominant lethal assays in mice  
18 and one toxicity and fertility study in the rat.

19 --o0o--

20 DR. IYER: Focusing on the cytogenetic assays,  
21 evaluating chromosomal damage in male germinal epithelium,  
22 there were 10 studies in several strains of mice via  
23 varied routes of exposure, six oral and four inhalation.

24 Most of these had chromosomal -- demonstrated  
25 chromosomal damage with increase in frequencies of

1 chromosomal aberrations and chromatid gaps, breaks and  
2 sister chromatid exchanges. Three studies showed no  
3 chromosomal damage. And for some of these studies, the  
4 primary source was not available and the information  
5 provided is from the reviews.

6           Subsequent to submitting the material to the  
7 Committee, we did retrieve two original studies. And the  
8 reviews for those two studies appeared to be in keeping  
9 with what the actual -- you know, the review -- the  
10 material that the reviews provided matched the information  
11 in the original studies. And we have them in case you do  
12 want to take a look at them.

13                           --o0o--

14           DR. IYER: Moving onto the studies with the  
15 dominant lethal assay study design. In the next two  
16 slides, the information from four of these studies are  
17 being presented. These were done in several strains of  
18 mice, via varied routes of exposure. Essentially after  
19 exposure the mice were mated over a specific period and  
20 then females were killed and examined for live and dead  
21 fetal resorptions.

22           In this slide, the effects on embryonic deaths  
23 are presented, and the next slide the effects on male  
24 fertility will be presented.

25           In the Ciba-Geigy 1986 study that had oral

1 exposure, a significant increase in number of embryonic  
2 deaths compared to control for the first mating period,  
3 but not in the second and third mating periods was noted.  
4 In the Hazelton 1989b study, also with oral exposure, no  
5 significant effects at any dose on fertility, total number  
6 of implantations, frequency of dead implantations,  
7 proportion of females with either one or more or two or  
8 more dead implantations, or frequency of dead implants  
9 relative to total implants per female was noted.

10 In the Bushy Run 1992a study with inhalation  
11 exposure, some effects on male fertility was noted and  
12 will be described in detail in the next slide. Overall,  
13 the positive dominant lethal effect was observed at only  
14 one dose point in one of four experiments. No dominant  
15 lethal effects in other three studies with no effect on  
16 the number of resorptions per litter, total number of  
17 implants, number of viable implants, or percentage of  
18 post-implantation loss was noted.

19 --o0o--

20 DR. IYER: In this slide, the four studies  
21 conducted per the dominant lethal assay, the effects on  
22 male fertility are being presented. And in the Ciba-Geigy  
23 1986, a significant increase in the number of embryonic  
24 deaths, as mentioned previously, compared to the control  
25 was noted for the first mating period, but not the second



1 non-peer-reviewed toxicity fertility study conducted in  
2 compliance with GLP, groups of 10 male rats were given  
3 diets containing 0, 10, 30 or 100 parts per million of  
4 TGIC, which corresponded to 0, 0.7, 2.1 or 7.3 milligram  
5 per kilogram body weight for 13 weeks.

6 Four groups of 20 female Sprague-Dawley rats  
7 received the same diet and were included in the -- which  
8 was included in the diet on week 10. After 64 days of  
9 treatment, each male was placed with two untreated females  
10 for mating.

11 On gestation day 19, females were divided into  
12 two groups for hysterectomy or delivery. On the day of  
13 sacrifice, the males were sampled for sperm concentration  
14 and viability spermatozoa. Decreases in the mean number  
15 of spermatozoa in treated groups were 5 percent, 13  
16 percent, and 23 percent compared to controls, as reported  
17 by the Nordic Expert Group, and they confirmed that there  
18 was no statistically significant difference between the  
19 dose groups, by ANOVA, however the test for linear trend  
20 showed significance -- significance for dose-related  
21 decrease in sperm count.

22 The mean spermatozoa viability in treated groups  
23 was similar to that in the control group. And the  
24 decrease in the number of spermatozoa did not impact  
25 fertility outcomes or embryonic and fetal development. No

1 changes or effects were seen compared to controls in a  
2 number of parameters studied, which included pre- and  
3 post-implantation losses, number of live fetuses, fetal  
4 body weights, sex ratios, number of live born, viability  
5 on day four and day 21 postpartum, pup weight for day 1 to  
6 21, external anomalies, malformations, or physical and  
7 reflex development of pups.

8 And that concludes the information for TGIC.

9 CHAIRPERSON GOLD: Thank you, Dr. Iyer. Are  
10 there any public comments at this time?

11 Okay. In that case, I will turn it over to Dr.  
12 Pessah.

13 COMMITTEE MEMBER PESSAH: Thank you, Dr. Iyer,  
14 for summarizing the information.

15 I'm going to take a little liberty to -- because  
16 I think the structure of this particular compound is a  
17 little different from the previous ones we have discussed.  
18 It contains three epoxides, which can be reactive toward  
19 nuclear material. And so I think that one of the things  
20 we should look at is the potential genotoxicity, because  
21 oftentimes that will inform on mechanism and possible  
22 effects that weren't necessarily clear in reproductive or  
23 development.

24 So essentially -- and we also need to keep track  
25 that there are two ways -- two materials that the animals

1 were exposed to, the actual substance, which varies  
2 between 90 and 98 percent purity and then the powder  
3 coating, which I think typically is more like 10 percent  
4 TGIC. And that's actually important, because some of the  
5 ways that the studies were undertaken. So in terms of  
6 both in vitro and in vivo genotoxicity, I'm going to start  
7 with in vitro first.

8           So in many of the rodent studies, there actually  
9 were positive results with respect to things like the  
10 lymphoma cell mutagenicity assays, the Ames test was  
11 weakly positive, so it wasn't a blazing mutagen. But  
12 relatively speaking, it was weak toward some of the  
13 salmonella cell lines. And there was a difference whether  
14 or not S9, which is metabolic activators were included or  
15 not included.

16           What was surprising to me is that in human  
17 fibroblast, it was actually negative. Whereas, in rat  
18 hepatocytes, looking at unscheduled DNA synthesis, it was  
19 positive. And so I think one of the conclusions was that  
20 concentrations as high as 400 milligrams per milliliter  
21 did not induce on scheduled DNA synthesis in human  
22 fibroblasts.

23           Chromosomal aberrations. These -- looking for  
24 structural chromosomal aberrations in human lymphocytes  
25 seemed to be somewhat positive at very high concentrations

1 at about 2,500 nanograms per milliliter. And this again  
2 this is the pure compound or the relatively pure material.

3 In terms of in vivo genotoxicity, nuclear anomaly  
4 tests results that TGIC is clastogenic, and that at high  
5 concentrations can cause chromosome breakage, but these  
6 are up at the neighborhood of 560 milligrams per kilogram  
7 per day over a two-day period.

8 Another measure of chromosomal damage, which is  
9 sister chromatid exchange studies, suggests there was a  
10 positive effect at 560 milligrams per kilogram, which was  
11 the highest dose. And this was, of course, administered  
12 by gavage. So this was not an inhalation exposure.

13 Chromosomal aberrations in mouse germ cells were  
14 tested in two ways by gavage. So there, the results of  
15 this study were negative. There was one study that showed  
16 at least one animal, which had significantly induced, but  
17 that was at one dose level, and a second study basically  
18 was negative.

19 Whole body exposure to technical grade TGIC was  
20 done in the Busy Run Research Center at Union Carbide.  
21 And males were exposed. There were no deaths, no adverse  
22 clinical signs. There was a real problem with that set of  
23 studies, in that they scored animals with only -- what  
24 they were looking for was sperm problems, and in  
25 particular they were looking at problems in spermatogonial

1 cells. And at 10 and 50, which was the low and  
2 intermediate dose -- I'm sorry, the intermediate and the  
3 high dose, which was essentially milligrams per cubic  
4 meter for six hours each day over a five-day period, a lot  
5 of the animals had very few spermatogonia. So basically,  
6 they ignored a lot of these, because they only scored  
7 those animals that more than 50 scorable cells. And so  
8 the results of this study I felt was inconclusive.

9           Let's see, I'm trying to go down here. There was  
10 yet another study by Safe Farm Laboratory. Again, this  
11 was, I think, a mouse study, a five-day inhalation. And  
12 in this case, there was 10 percent powder used. And it  
13 was suggested that the methodology complied with the  
14 standard OECD protocol. That study showed effects only in  
15 one dose -- I'm sorry. It used only one dose instead of  
16 the three required, so this study actually didn't follow  
17 those protocols. There were several issues that came up  
18 that apparently confounded the interpretation of those  
19 results.

20           Let's see, what else do I have?

21           So in terms of the Ciba CIT study, this was the  
22 1996 study, the doses that were chosen essentially were  
23 oral dietary exposures of the pure compound for six weeks.  
24 The males were exposed at 0, 10, 30, and 100 ppm, which  
25 translates into 0.72, 2, and 7.3 mg/kg per day. And

1 again, this was the technical grade material not the  
2 powder.

3           So assuming the GLP was followed, this is a  
4 90-day subchronic toxicity standard, and so they evaluated  
5 several endpoints, including pathology, clinical  
6 chemistry, mating and fertility outcomes. There were 10  
7 males per group and 20 females per group. The doses were  
8 based on a range finding study. So they basically chose a  
9 dose range where they had incorporated the NOEL and higher  
10 doses.

11           So there was a modest dose-related decrease in  
12 mean spermatozoa concentration, about a 23 percent  
13 decrease at 100 ppm, or approximately 100 ppm. No effect  
14 was noted on viability or fertility in the males with a  
15 reduced sperm count.

16           Although the 100 ppm group had a 90 percent  
17 success rate for siring litters, one out of the 10 failed.  
18 Two out of 10 males at the 100 ppm group developed a  
19 reddish coloration in the mesenteric lymph nodes, and this  
20 was considered to be treatment related.

21           However, microscopic examination revealed that  
22 four out of 10 males had hemosiderosis, or iron overload,  
23 and/or congestion in these mesenteric lymph nodes. This  
24 was not found in control or the lower dose groups

25           Dilated pelvis, angular surfaces of the kidney

1 and grayish-white foci on the liver were not considered  
2 treatment related. And I didn't quite understand how that  
3 was, since I don't think they saw this at -- certainly, it  
4 was higher in prevalence than in the controls.

5 No other treatment-related effects were noticed  
6 in any of the many body parameters that they assayed --  
7 many of the parameters that they assayed.

8 The female showed no mortality adverse clinical  
9 signs. On day 20 of pregnancy, a subgroup of pregnant  
10 females were hysterectomized to assess litter parameters.  
11 No changes or effects were seen compared to controls in  
12 the corpora luteum, the pre- and post-implantation losses  
13 or fetal death, the number of live fetus body weights or  
14 sex ratios.

15 So in all, there was really unremarkable findings  
16 from this reproductive steady. No effects on physical  
17 development, including hair growth, tooth eruption, eye  
18 and auditory canal openings, reflex development were seen  
19 in the offspring.

20 Some of the behavioral outcomes that they  
21 measured were surface righting, cliff avoidance, and air  
22 righting. These were all normal. Therefore, the only  
23 effects seen in all of these areas tested was a slight  
24 dose-related decrease in the mean number of spermatozoa.  
25 And this slight decrease didn't influence fertility.

1           So I think that's pretty much it.

2           CHAIRPERSON GOLD: Thank you. Are there any  
3 questions or comments from the Panel about this agent or  
4 for Dr. Pessah?

5           So can you sort of come up with a summary of your  
6 feeling regarding developmental toxicity, male or female  
7 productive toxicity.

8           COMMITTEE MEMBER PESSAH: Clearly, the  
9 possibility of male reproductive toxicity, I think the  
10 weight of evidence is equivocal. Female really isn't  
11 tested. Although, the last study did a reproductive study  
12 that went at least one generation out and didn't find any  
13 female reproductive toxicity. However, it should be noted  
14 that the structure is a weak alkylating agent and mutagen.

15           CHAIRPERSON GOLD: Thank you.

16           CHIEF COUNSEL MONAHAN-CUMMINGS: Excuse me, Dr.  
17 Gold. Did you ask for public comment on this one?

18           CHAIRPERSON GOLD: I thought we did that before  
19 Dr. Pessah and there was none.

20           CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. I must  
21 have missed it. Sorry

22           CHAIRPERSON GOLD: Did I forget?

23           COMMITTEE MEMBER PESSAH: No, you asked.

24           CHAIRPERSON GOLD: We can certainly have public  
25 comment now, if I forgot?

1 COMMITTEE MEMBER PESSAH: You didn't.

2 CHAIRPERSON GOLD: I thought I asked, but okay.

3 COMMITTEE MEMBER WOODRUFF: I thought you asked.

4 CHAIRPERSON GOLD: All right. Any -- maybe we  
5 need a break.

6 (Laughter.)

7 COMMITTEE MEMBER WOODRUFF: I have a question.

8 CHAIRPERSON GOLD: Yes, Dr. Woodruff.

9 COMMITTEE MEMBER WOODRUFF: So if it is -- one of  
10 the definitions for a -- so you said it was a weak  
11 mutagen. So one of the definitions is a somatic or  
12 genetic germ cell mutation in the conceptus or genetic  
13 damage to the ovum. I'm looking at these different ones  
14 that related to mutagenic activity. Is that related to  
15 what you --

16 COMMITTEE MEMBER PESSAH: Right. So essentially,  
17 in in vitro and in some in vivo studies, there's some  
18 evidence that it can modify DNA and have different kinds  
19 of mutagenic effects. These effects are generally weak.  
20 Where I think this became questionable is that in the two  
21 human cells -- or cell lines that were used to see if, in  
22 fact, it would modify DNA or promote mutagenic effects, it  
23 proved negative. So the weight of evidence is in the  
24 rodent studies, in this case.

25 COMMITTEE MEMBER WOODRUFF: Which ones are the

1 human studies in this table?

2 COMMITTEE MEMBER PESSAH: They're not actually  
3 human studies. They're human cell studies.

4 COMMITTEE MEMBER WOODRUFF: Human cell studies.

5 COMMITTEE MEMBER PESSAH: Lymphocytes and -- I  
6 can point them out to you.

7 COMMITTEE MEMBER WOODRUFF: I see mice, mice,  
8 mice, mice. Hazelton study. Oh, I see.

9 COMMITTEE MEMBER ROCCA: I think the data may  
10 have been in one of the papers that was part of the  
11 review. I don't think it was one of the papers we were  
12 given.

13 COMMITTEE MEMBER WOODRUFF: So do we have the  
14 data on this or is it just in the review -- just this.

15 COMMITTEE MEMBER PESSAH: Yes

16 COMMITTEE MEMBER WOODRUFF: Hazelton, the one  
17 that is only 4.6 percent whatever this compound is, is  
18 that right?

19 COMMITTEE MEMBER PESSAH: No.

20 COMMITTEE MEMBER WOODRUFF: Not that one. Oh,  
21 here it is. This one. That one?

22 COMMITTEE MEMBER PESSAH: Those are on mice too.  
23 The human study isn't on the table. The human cells.

24 COMMITTEE MEMBER WOODRUFF: So the human study is  
25 not in this --

1 COMMITTEE MEMBER PESSAH: Are not on the table,  
2 yeah.

3 COMMITTEE MEMBER WOODRUFF: So how do we -- where  
4 are the human studies then?

5 COMMITTEE MEMBER PESSAH: In the report summary  
6 from the Australian report or evaluation of TGIC, which we  
7 received as a PDF.

8 COMMITTEE MEMBER WOODRUFF: Oh.

9 CHAIRPERSON GOLD: So that's the NINCAS document.

10 COMMITTEE MEMBER WOODRUFF: All right.

11 CHAIRPERSON GOLD: Which is basically a review,  
12 and includes the human in vitro studies.

13 COMMITTEE MEMBER PESSAH: Correct.

14 COMMITTEE MEMBER WOODRUFF: Right. So is it a  
15 review or is it actually a study? I guess I was confused.

16 COMMITTEE MEMBER PESSAH: It was an assessment of  
17 the literature in 1994, I think it was.

18 COMMITTEE MEMBER WOODRUFF: Right. I guess my  
19 confusion is, is that if it's a literature -- if it's a  
20 review, shouldn't we look at the primary underlying data  
21 or studies?

22 COMMITTEE MEMBER PESSAH: We should.

23 COMMITTEE MEMBER WOODRUFF: Right. So I guess --

24 CHAIRPERSON GOLD: Excuse me. My recollection --  
25 I don't know if it pertains to this one -- is that some of

1 them were unpublished. And so --

2 COMMITTEE MEMBER WOODRUFF: Right. So how do we  
3 consider unpublished data on this Committee?

4 COMMITTEE MEMBER VANDEVOORT: I didn't hear what  
5 you said.

6 CHAIRPERSON GOLD: Some of them were unpublished.  
7 I don't know if it pertains to this specific in vitro  
8 human cell study. But some of them that were reviewed in  
9 that document were unpublished.

10 Dr. Donald, do you have a comment?

11 DR. DONALD: There were some studies that were --  
12 where we could not retrieve the original study report. So  
13 where those were reported, they were reported on the basis  
14 of other bodies' reviews of those studies. Unfortunately,  
15 Dr. Iyer who worked on this stepped out for a moment.  
16 When she comes back, we can perhaps get more information  
17 from her.

18 COMMITTEE MEMBER WOODRUFF: There she is. Magic.

19 CHAIRPERSON GOLD: So there is a question for  
20 you, Dr. Iyer.

21 DR. IYER: Yes.

22 CHAIRPERSON GOLD: In terms of some of the  
23 unpublished studies, and in particular unpublished studies  
24 that might have used human cells, sort of what's the  
25 status of those? Did you review them?

1 DR. IYER: We looked at all the ones that have --

2 CHAIRPERSON GOLD: Microphone, please.

3 DR. IYER: We looked at all the ones that had the  
4 male germinal epithelium. And actually I was going to see  
5 if I had the reviews to bring. And I had them here. I  
6 thought it was outside.

7 But we just looked at the ones that had the male  
8 germinal epithelium and that's the ones that I summarized.  
9 The ones that you're talking about, lymphocytes didn't --  
10 you know, it's important from a mutagenic aspect, but not  
11 necessarily from the reproductive system.

12 COMMITTEE MEMBER PESSAH: I thought it was  
13 important to include that information, because obviously  
14 if it's a DNA modifying agent in humans, it would have  
15 been more compelling for me anyways. The reference is  
16 number 37. And unfortunately, you didn't provide the  
17 references along with an in-cast -- it sort of ends  
18 without the references. Oh no, I'm sorry. The references  
19 are there. Number 37, at least I think -- yep. Hold on.

20 DR. IYER: I think it's the Safe Farm.

21 COMMITTEE MEMBER PESSAH: Yes, that's the Safe  
22 Farm.

23 DR. IYER: Thirty-seven, Ciba-Geigy 1985.

24 COMMITTEE MEMBER PESSAH: It is 37 Ciba-Geigy  
25 Limited, 1985, chromosome studies on human lymphocytes in

1 vitro. And that's a Ciba-Geigy publication.

2 DR. IYER: Yeah. We didn't look at the -- we  
3 didn't present the ones that had, you know, non-male  
4 germinal --

5 COMMITTEE MEMBER PESSAH: Got it.

6 COMMITTEE MEMBER WOODRUFF: So what's the rule  
7 about unpublished? Well, first of all, we haven't --  
8 actually, we don't have the actual data, so we don't -- we  
9 can't review the study, is that right?

10 DR. ZEISE: Correct.

11 COMMITTEE MEMBER WOODRUFF: Okay. And it's not  
12 peer reviewed, is that right? It's not published. I just  
13 sort of wonder if we can make a lot of -- put a lot of  
14 weight on a study, where don't even -- haven't seen it?  
15 Or I don't know what -- what is the rule about considering  
16 studies that are unavailable to the Committee?

17 CHAIRPERSON GOLD: Does anybody know if there is  
18 such a rule?

19 Dr. Donald.

20 DR. DONALD: I'm not sure that there's a rule,  
21 per se. It's really at your discretion how much weight  
22 you place on any information. As I mentioned before, you  
23 know, if you feel that there is information available or  
24 potentially available that would be important to you and  
25 that you have not yet seen, you can defer a decision, and

1 we can attempt to identify and retrieve that information.  
2 So far, we've been unable to retrieve some of the studies  
3 that have been presented to you on the basis of other  
4 people's review of them.

5           But if there's information that is available,  
6 such as what we would generally present perhaps as  
7 supporting information and a more extensive hazard  
8 identification document. If you think that would have a  
9 significant impact on your decision, we can generate that  
10 information and present it to you at a future meeting.

11           CHAIRPERSON GOLD: Dr. Alexeeff.

12           DIRECTOR ALEXEEFF: Yeah. George Alexeeff. So  
13 regarding unpublished data, we do not exclude unpublished  
14 data, and -- but, you know, if we were to provide  
15 unpublished data, then that would be something the  
16 Committee would have to look at to see if it met, you  
17 know, the standard needed by this Committee.

18           And one of the reasons we do not exclude  
19 previewed data is because in the many study reports,  
20 particularly of pesticides or other chemicals, could be  
21 very useful in understanding the effects of the chemical,  
22 so...

23           CHAIRPERSON GOLD: Dr. Pessah.

24           COMMITTEE MEMBER PESSAH: Again, my intent for  
25 comparing the human data, which I don't have actual data

1 for, but it appeared in a document that was used for risk  
2 assessment, I guess it was, is that the rodent data  
3 suggests that it is a mutagen and that it can change sperm  
4 nuclear integrity. One has to take that with a grain of  
5 salt that it's in vitro. And whether human cells can be  
6 similarly modified, I think is an important point. The  
7 fact that I don't have the data, I can't defend the study.

8 COMMITTEE MEMBER WOODRUFF: Right. Yeah, I mean,  
9 I'm just saying that I agree with your point about looking  
10 at the human data, but I would -- I think we should -- I  
11 think we should rely on the data, whether it's published  
12 in a peer-reviewed manner or not. I mean, I agree with  
13 the point about availability of data, but I just think we  
14 can't really conclude, unless we actually see the study.

15 CHAIRPERSON GOLD: Dr. Rocca.

16 COMMITTEE MEMBER ROCCA: Yeah. So I had a  
17 question about looking at this as goes to normal  
18 scientific processes. I know that's some place in our  
19 Prop 65 that we're supposed to look at things, and the  
20 quality of the data, and is this up to normal scientific  
21 scrutiny?

22 And my understanding of a chromosomal aberration  
23 assay, is it is a screening assay for carcinogenesis, and  
24 that it is not applied for reproductive endpoints. And  
25 I'm looking at the male toxicologist here.

1           COMMITTEE MEMBER WOODRUFF: I think -- wasn't the  
2 relevance that it was done in these germ cells? Is that  
3 what the -- right, is that what you were saying?

4           DR. IYER: Say that again.

5           COMMITTEE MEMBER WOODRUFF: That they were during  
6 germ cell lines.

7           DR. IYER: Yeah. We just looked at them, because  
8 they were done in germ cell lines, so we thought maybe  
9 that would give us some information, other than, you know,  
10 what was available, because we had a very limited amount  
11 on actual classic reproductive or, you know, developmental  
12 toxicity studies. So we figured, okay, at least this is  
13 telling us something about the, you know, germ.

14           COMMITTEE MEMBER WOODRUFF: Well, it is one of  
15 the criteria by which you can list something right here in  
16 your document, so...

17           DR. IYER: If you found, you know, effects, then  
18 that would definitely tell you something about the fact  
19 that this compound is affecting, you know, spermatogonia  
20 or spermatocytes. So it would you useful information,  
21 which is why we even presented the findings that we had.

22           CHAIRPERSON GOLD: So the question is would you  
23 like to defer a vote on this and ask the staff to try and  
24 obtain this material so you can review it at a subsequent  
25 meeting -- for a subsequent meeting and defer the vote?

1           Okay. Dr. Rocca says no. I'm going to ask Dr.  
2 Pessah.

3           COMMITTEE MEMBER PESSAH: Again, I was using it  
4 as a basis for comparison and relevance of these  
5 particular spermatocyte and spermatogonia findings, which  
6 were performed, I think, at extremely high concentrations.  
7 And also, the studies were flawed. So I just want to put  
8 it in perspective.

9           CHAIRPERSON GOLD: So that's a yes?

10          COMMITTEE MEMBER PESSAH: That's a no.

11          CHAIRPERSON GOLD: That's a no.

12          Dr. Woodruff, a yes or a no, would you like to  
13 defer this and --

14          COMMITTEE MEMBER WOODRUFF: I don't need to defer  
15 it, but I would ask what your opinion is about the germ  
16 cell mutagenicity that is in this -- these studies.

17          CHAIRPERSON GOLD: Dr. Pessah.

18          COMMITTEE MEMBER PESSAH: Okay. So again, in the  
19 whole body exposure, this is the Busy Run Research Center  
20 study from Union Carbide. There was technical grade TGIC  
21 used. The exposures were 2.5, 10, and 50 milligram per  
22 cubic meter per hour per day for five days. There were no  
23 deaths and no adverse clinical signs. The chromosomal  
24 aberrations were scored on spermatogonial cells. And only  
25 animals at the 10 and 50, which are the two higher levels

1 with greater than 50 scorable cells were essentially  
2 counted.

3           And the problem in those studies is that very few  
4 of the animals had greater than 50 scorable cells. And so  
5 the quality of that information is somewhat inconclusive,  
6 because they're very small numbers that they're scoring.  
7 In the powder study, the amount of powder that the animals  
8 were exposed to was 100, 1,000, and 1,700 mg per cubic  
9 meter.

10           One of the problems with the powder where you  
11 have about 10 percent of the active principal -- and  
12 again, what I'm thinking is that they actually didn't use  
13 the powder alone without the TGIC. I think they just used  
14 filtered air. So you don't know what the powder is doing  
15 and what the TGIC is doing, but nevertheless -- so that  
16 wasn't clear to me, but I just assumed that there was no  
17 powder only control in that study.

18           But one of the things that they noted was -- and  
19 again I get this out of the Australian summary is that  
20 there were very large quantities of dust deposited in the  
21 cage and on the animal where there was grooming, and  
22 clearly there must have been some oral consumption of what  
23 was sprayed. And so the dose couldn't really be  
24 accurately determined.

25           So that's why I was a little bit skeptical about

1 those particular studies, which showed these problems in  
2 the spermatogonial cells.

3           The CIT study, Ciba study that was filed under  
4 TSCA, actually didn't show anything that was really  
5 compelling in terms of reproductive toxicity, and those  
6 again were relatively high doses. They had a modest  
7 reduction in spermatozoa at the highest exposure level at  
8 100 ppm -- or at one of the doses. Sorry, not 100 -- no,  
9 it was 100 ppm, but that didn't influence their  
10 reproductive success, either in numbers or in --

11           COMMITTEE MEMBER WOODRUFF: Right. I think why  
12 I'm a little confused --

13           CHAIRPERSON GOLD: Microphone.

14           COMMITTEE MEMBER WOODRUFF: Oh, yeah, it's on --  
15 confused is because when I'm looking at the presentation,  
16 they say there's 10 studies with these evaluating it and  
17 only three studies found no chromosomal damage. And it  
18 sounds -- I mean, I think it's a little hard to compare  
19 what you're saying to what the summary is from the staff.

20           COMMITTEE MEMBER PESSAH: Sorry?

21           COMMITTEE MEMBER WOODRUFF: Well, like you  
22 mentioned three studies. So in this one they say there's  
23 10 studies here that have been looking these cytogenetic  
24 assays, looking at damage in male germinal epithelium  
25 spermatocytes, and three were negative. I'm assuming that

1 seven were positive, is that right?

2 DR. IYER: Well, there was increased frequencies  
3 of chromosomal aberrations in those other studies. And so  
4 I don't think he said anything different.

5 COMMITTEE MEMBER WOODRUFF: Oh. Okay, but you  
6 don't think that the -- okay. That's fine.

7 COMMITTEE MEMBER ROCCA: Question. Perhaps Dr.  
8 Pessah could also talk about the companion studies to the  
9 two Bushy Run studies, where they actually did the  
10 dominant lethal, and so actually dosed the animals and we  
11 do have real reproductive endpoints on those.

12 COMMITTEE MEMBER PESSAH: Yeah. Those were the  
13 ones that were sent out yesterday.

14 COMMITTEE MEMBER ROCCA: No. Those were the ones  
15 that -- so it's the Bushy Run 1992a and 1992b on 48 and  
16 49. My interpretation of those two studies is that when  
17 they actually did do an assessment of fertility using the  
18 same doses, that there was no effect on fertility, and so  
19 there was no male reproductive toxicity.

20 COMMITTEE MEMBER PESSAH: So I think we're  
21 talking about two different studies here.

22 COMMITTEE MEMBER WOODRUFF: Where's the other  
23 one?

24 Oh, I see.

25 DR. IYER: Can I get the clicker?

1           If you look in the table that you have, the  
2 actual -- the HID, the studies that do have -- okay. So  
3 did you want clarification on this one or the dominant  
4 lethal?

5           COMMITTEE MEMBER ROCCA: The dominant lethals  
6 that are on page 48 and 49. And I wanted to hear Dr.  
7 Pessah's conclusion on those.

8           COMMITTEE MEMBER PESSAH: Now, I see which ones  
9 we're saying. So these were inhaled dust. And in the  
10 CD-1 mouse there was increased -- I'm sorry, decreased  
11 fertility in the first three weeks, and six weeks at high  
12 doses. I'm sorry, I just don't remember what these  
13 studies are trying to tell me.

14           COMMITTEE MEMBER ROCCA: Okay. So may I comment  
15 on it then?

16           COMMITTEE MEMBER PESSAH: Please.

17           COMMITTEE MEMBER ROCCA: When you look into the  
18 data for this, what you find out is those animals never  
19 mated. So it really wasn't a matter of that they weren't  
20 fertile. I'm guessing it was a matter of toxicity, so  
21 that less than 50 percent of them mated. If you look at  
22 the ones that mated, they were all perfectly fertile.  
23 There was no semen analysis done in this study. So when  
24 they're giving results about what spermatic part of the  
25 cycle it is, they were just inferring that from the timing

1 of when they mated.

2           They didn't actually look at any of the sperm.  
3 And so my conclusion is the animals didn't mate. Those  
4 that did mate were perfectly fertile. And as the effects  
5 wore off, then they did mate and they were fine.

6           CHAIRPERSON GOLD: Any other comments from the  
7 Panel?

8           By the way, were you looking at the 1992a when  
9 you were talking about that, Dr. Rocca?

10           COMMITTEE MEMBER ROCCA: I was.

11           CHAIRPERSON GOLD: Okay. Yeah, because my notes  
12 say basically no effect on resorptions, implantations, et  
13 cetera.

14           COMMITTEE MEMBER ROCCA: I actually graphed it.

15           CHAIRPERSON GOLD: Okay. Well --

16           COMMITTEE MEMBER ROCCA: But I can at least read  
17 you my notes. Sorry.

18           I can at least read you my notes that I did look  
19 at this carefully. And for the high dose group, the male  
20 mating index was about 50 percent for the first week, and  
21 then went up to seventy something, then was eighty  
22 something. And it didn't get into the ninety percent  
23 range until four weeks in.

24           And the same thing with the number of females  
25 that those males were paired with, so it wasn't a matter

1 that they were mating, but for some reason they weren't  
2 able to see sperm. They got the same exact results for  
3 these females. There was no copulatory plug, and there  
4 was no sperm. So my interpretation of this, which it also  
5 says in the NICNAS paper, is that it's not an effect on  
6 fertility, per se.

7 Does that make sense?

8 CHAIRPERSON GOLD: Are there further comments or  
9 questions?

10 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Gold, I  
11 wonder if this might be one where you want to defer?

12 CHAIRPERSON GOLD: I am just going to ask if  
13 people were ready to vote or if they'd prefer to defer.

14 So --

15 CHIEF COUNSEL MONAHAN-CUMMINGS: We could --

16 CHAIRPERSON GOLD: Pardon?

17 CHIEF COUNSEL MONAHAN-CUMMINGS: You know, we  
18 could provide more information, whatever you feel like you  
19 need.

20 CHAIRPERSON GOLD: Okay. So the question is,  
21 does anyone on the Panel feel like they would like more  
22 information, which I hope they would specify, and  
23 therefore we should defer the vote or are you ready for a  
24 vote?

25 So how many have -- want more information and

1 want to defer?

2 COMMITTEE MEMBER WOODRUFF: Yeah, I have a  
3 question. So did we get the Bushy Run -- those are the  
4 BRRC ones here?

5 COMMITTEE MEMBER PESSAH: Yes.

6 COMMITTEE MEMBER WOODRUFF: Okay.

7 DR. DONALD: Yes, you received the Bushy Run  
8 1992a and b studies. But what seemed to cause a little  
9 confuse was they were provided to us as one PDF. So we  
10 actually provided it twice with different titles.

11 CHAIRPERSON GOLD: So does the Panel need more  
12 time and want to defer this or do -- are we ready to vote?

13 This side looks ready. Is this side ready?

14 I'm seeing yeses all around.

15 We're ready.

16 Okay. So has 1,3,5-triglycidyl-s-triazinetriene  
17 been clearly shown through scientifically valid testing to  
18 generally accepted principles to cause developmental  
19 toxicity. If you believe yes, please raise your hand.

20 (No hands raised.)

21 CHAIRPERSON GOLD: If you believe no, please  
22 raise your hand.

23 (Hands raised.)

24 CHAIRPERSON GOLD: Abstentions?

25 (Hand raised.)

1 CHAIRPERSON GOLD: One.

2 Okay. Has 1,3,5-triglycidyl-s-triazinetriene  
3 been clearly shown through scientifically valid testing,  
4 according to generally accepted principles, to cause  
5 female reproductive toxicity. If yes, please raise your  
6 hand.

7 (No hands raised.)

8 CHAIRPERSON GOLD: I see none.

9 If no?

10 (Hands raised.)

11 CHAIRPERSON GOLD: Okay, six, and therefore no  
12 abstentions.

13 Has 1,3,5-triglycidyl-s-triazinetriene been  
14 clearly shown through scientifically valid testing,  
15 according to generally accepted principles to cause male  
16 reproductive toxicity? If yes, please raise your hand.

17 (Hand raised.)

18 CHAIRPERSON GOLD: We have one.

19 If no, raise your hand.

20 (Hand raised.)

21 CHAIRPERSON GOLD: We have one.

22 Abstentions?

23 (Hands raised,)

24 CHAIRPERSON GOLD: We have four.

25 So according to my tally, this will -- this

1 compound will no longer be listed.

2 CHIEF COUNSEL MONAHAN-CUMMINGS: (Nods head.)

3 CHAIRPERSON GOLD: Okay. Perhaps we should take  
4 a break?

5 DIRECTOR ALEXEEFF: Yeah, that's good.

6 CHAIRPERSON GOLD: Should we, what 10 minutes?  
7 Reconvene in 10 minutes?

8 DIRECTOR ALEXEEFF: Yeah, that sounds good.

9 CHAIRPERSON GOLD: Yes, 10 minutes enough?

10 DIRECTOR ALEXEEFF: Yes.

11 CHAIRPERSON GOLD: Yeah. Then at 2:55, we will  
12 reconvene.

13 Thank you.

14 (Off record: 2:44 PM)

15 (Thereupon a recess was taken.)

16 (On record: 2:58 PM)

17 CHAIRPERSON GOLD: Okay. If we can reconvene.

18 So the next agent on the list for us to discuss,  
19 and actually I believe the final agent, is  
20 4-vinyl-cyclohexene. And we're going to do vinyl  
21 cyclohexene dioxide at the same time, one being a  
22 metabolite of the other.

23 And Dr. Wu is going to do the presentation.

24 (Thereupon an overhead presentation was  
25 presented as follows.)

1 DR. WU: Thank you. 4-vinyl-cyclohexene and  
2 vinyl-cyclohexene dioxide are the next two chemicals I  
3 will present. These chemicals are related compounds.

4 Vinyl-cyclohexene -- and vinyl --  
5 vinyl-cyclohexene is the parent compound of the metabolite  
6 vinyl-cyclohexene dioxide. Cytochrome P450 enzymes  
7 metabolize vinyl-cyclohexene hereafter referred to as VCH  
8 to vinyl-cyclohexene dioxide, hereafter referred to as  
9 VCD.

10 Although, the liver is the major site of  
11 bioactivation of VCH, cytochrome P450 enzymes are also  
12 present in the ovary. Thus, the ovary may contribute to  
13 its own toxicity by promoting bioactivation of VCH to the  
14 toxic metabolite VCD. In the late 1980s, the National  
15 Toxicology Program described the effects of VCH and VCD in  
16 mice and rats. The NTP studies assessed carcinogenicity  
17 of VCH and VCD. But before the conclusion of those  
18 studies, ovarian atrophy was a noted effect of exposure.  
19 These observations prompted further study of VCH and VCD  
20 by other researchers.

21 --o0o--

22 DR. WU: A comprehensive literature search on VCH  
23 produced few references on male reproductive and  
24 developmental toxicity, and numerous references on female  
25 reproductive toxicity.

1                   --o0o--

2                   DR. WU: Four references were identified, which  
3 pertain to male reproductive and developmental toxicity of  
4 VCH. Of those references, two references reported  
5 positive findings on male reproductive endpoints. A  
6 reproductive assessment by continuous breeding study in  
7 mice demonstrated reduce spermatid heads per milligram of  
8 testicular tissue as a result of oral exposure to VCH. No  
9 effects on mating and fertility indices or pregnancy  
10 outcome endpoints were reported. Also, no developmental  
11 toxicities were reported.

12                   The numerous references on female reproductive  
13 toxicity were not conducive to providing a concise summary  
14 table of the references identified in the HID. However,  
15 the DART IC received a recent review of female  
16 reproductive toxicity of VCH published in a peer reviewed  
17 scientific journal as well as all of the individual  
18 references on VCH and female reproductive toxicity.

19                   These references pertaining to female  
20 reproductive toxicity were studies conducted in mice and  
21 rats that largely demonstrated the ovotoxicity of VCH.  
22 There is extensive data on the ovarian toxicity of VCH,  
23 because VCH is a model compound for inducing loss of small  
24 pre-antral follicles by apoptosis. Other identified  
25 references discussed the bioactivation and metabolism of

1 VCH into VCD. Those metabolic studies demonstrated  
2 species differences in the metabolism of VCH in that mice  
3 are more capable than rats of metabolizing VCH to VCD.

4 --o0o--

5 DR. WU: That concludes the information on VCH.  
6 Vinyl-cyclohexene dioxide is used commercially as  
7 well as being a metabolite of VCH. A comprehensive  
8 literature search on VCD produced one reference on male  
9 reproductive toxicity and a large volume of references on  
10 female reproductive toxicity.

11 --o0o--

12 DR. WU: One reference was identified which  
13 pertained to VCD and male reproductive toxicity. That  
14 study showed male mice treated with VCD had reduced  
15 testicular weight and testicular degeneration compared  
16 with controls.

17 A larger volume of references were found on the  
18 female reproductive toxicity of VCD compared with VCH.  
19 The numerous references on female reproductive toxicity of  
20 VCD were not conducive to providing a concise summary  
21 table in the references identified in the HID. However,  
22 the DART IC received a recent review of female  
23 reproductive toxicity of VCD published in a peer-reviewed  
24 scientific journal, as well as all of the individual  
25 references on VCD and female reproductive toxicity.

1           The references pertaining to female reproductive  
2 toxicity were studies conducted in mice and rats that  
3 largely demonstrated the ovotoxicity of VCD in both  
4 species. Studies conducted largely in the 1990s  
5 demonstrated that VCD was the ovotoxic chemical when VCH  
6 was administered. Administrations of the monoepoxide  
7 metabolites of VCH did not reduce ovarian follicle  
8 populations, which led to the conclusion that the dioxide  
9 metabolite was causing the ovotoxicity in small follicles.

10           There is extensive data on the ovarian toxicity  
11 of VCD, because VCD is a model compound for inducing loss  
12 of small pre-antral follicles via apoptosis. Rodents  
13 treated with VCD are well suited as models of human  
14 perimenopause and menopause because they exhibit a gradual  
15 decline in ovarian follicles, and thus are a better than  
16 ovariectomized rodent models which exhibit an abrupt  
17 decline in all ovarian follicles.

18           In general, the body of literature identified by  
19 the literature search covered the topics of VCD as a model  
20 chemical for menopause and old-age related conditions,  
21 such as decreased bone mineral density, atherosclerotic  
22 lesions, and neurodegeneration. There are also  
23 mechanistic studies detailing how VCD affects different  
24 cell signaling pathways and hormonal profile. That  
25 concludes the summary of the literature.

1 CHAIRPERSON GOLD: Thank you, Dr. Wu.

2 Are there any public comments on either of these  
3 compounds?

4 Hearing, seeing none.

5 We have asked Dr. Baskin to deal with the male  
6 side of this, and Dr. Rocca to deal with the female side,  
7 and they have --

8 COMMITTEE MEMBER ROCCA: (Shakes head.)

9 CHAIRPERSON GOLD: No? Sorry, Dr. VandeVoort. I  
10 beg your pardon.

11 COMMITTEE MEMBER ROCCA: Good try.

12 (Laughter.)

13 CHAIRPERSON GOLD: See, I thought I had it all  
14 together. I hope I didn't alarm you there. I'm sorry. I  
15 apologize.

16 (Laughter.)

17 CHAIRPERSON GOLD: Okay. Well, and -- the  
18 feeling it seemed was that we should go with the female  
19 first.

20 So Dr. VandeVoort.

21 COMMITTEE MEMBER VANDEVOORT: Okay. This is a  
22 really interesting compound, and it's actually an example  
23 that I use when I teach reproductive toxicology, because a  
24 lot is known about this compound. And in the review that  
25 we were given by Hoyer and Sipes on VCH, there's a nice --

1 if you look at Figure 1, it shows that there is this  
2 balance between VCH being activated by cytochrome P450,  
3 and going through this phase of the monoepoxide and being  
4 driven to VCD. And then it's actually the microsomal  
5 epoxide hydrolases that deactivate VCD.

6 And interestingly enough, they found effects in  
7 mice and not in rats. And for a while, this was really  
8 puzzling, because the effects that they were finding were  
9 on a very specific range of follicle size. And it was  
10 either in the primary, you know, pre-antral follicles.  
11 And so the small follicles were being affected, and it was  
12 increasing apoptosis in those follicles.

13 And what they ended up finding out through a  
14 whole series of papers and studies is that it's  
15 actually -- whether or not there's an effect in the mouse  
16 or the rat depends on -- not only on how much VCD is being  
17 produced by activation of VCH, but interestingly the rate  
18 at which the epoxide hydrolase is able to deactivate it as  
19 well. And it appears that the rat is able to do a better  
20 job than the mouse is. And thus, you don't get the  
21 negative effects on the ovotoxicity in the rat that you do  
22 in the mouse.

23 And so I guess the -- I was sort of curious after  
24 reading all of this, and having some questions about,  
25 well, which way is a human going to go? Because I think

1 we have the -- we have one species of rodent where you  
2 have a really marked effect, and another species where  
3 there's no effect on long-term fertility.

4           And so I dug a little deeper. And there's just  
5 really no data on humans in this compound. And so what I  
6 ended up finding was a couple of studies where they  
7 were -- and one of them is by Sipes that also was a  
8 co-author on this review, where they used human hepatic  
9 microsomes to determine whether or not they could  
10 metabolize VCH into either of the monoepoxides. And  
11 indeed, human microsomes are quite capable of that. And  
12 so they tend to prefer -- the microsomes prefer the  
13 1,2-monoepoxide as opposed to the 7,8 form. But certainly  
14 they had very robust activity in that regard.

15           And I guess, for me, that was sort of the  
16 overriding evidence that I needed to feel that there is a  
17 real potential to affect these small follicles. And, of  
18 course, the effect is devastating in terms of long-term  
19 fertility for animals that are exposed to this.

20           You know, it's premature ovarian failure, and  
21 loss of fertility. So without going into every one of the  
22 studies, I mean, I just think this is -- the evidence is  
23 so well known and so well published that it acts on these  
24 small follicles, and even the mechanisms through -- and it  
25 gets into this in the second review that we were given

1 about the c-kit, c-kit ligand interactions. And it  
2 affects the ability to autophosphorylate the c-kit. And  
3 so it's very well researched, and I feel quite confident  
4 in recommending that it is definitely a female toxicant.

5 CHAIRPERSON GOLD: Thanks very much.

6 So before we go on to the male, does the Panel  
7 have any questions or comments for Dr. VandeVoort on the  
8 female side?

9 Okay. Dr. Baskin, the male side.

10 COMMITTEE MEMBER BASKIN: Am I covering  
11 development too?

12 CHAIRPERSON GOLD: Okay.

13 COMMITTEE MEMBER BASKIN: There was no data on  
14 development.

15 (Laughter.)

16 COMMITTEE MEMBER ROCCA: Well covered.

17 COMMITTEE MEMBER BASKIN: I thought that would be  
18 quick.

19 So there's three studies that pertain to the  
20 male. And it's not such an impressive scientific story  
21 with lots of mechanism and clear issues. And of the three  
22 studies in male, really the one that may be the most  
23 pertinent is this Grizzle study from 1994. And there are  
24 some statistically significant changes, for example, in  
25 sperm count. There's no histology in this paper. Sperm

1 count is important, but the statistical significance may  
2 not be clinically relevant.

3           For example, 13 million to 11 million, I'm not  
4 sure if that means anything. It's kind of like, in all  
5 the clinical studies we do, if the sodium is 140, but in  
6 the study it's 138 and it's statistically significant, it  
7 doesn't really mean anything in my mind. So I think the  
8 data is actually a little bit thin on the male side. The  
9 Bevan study showed no change in really weight. And the  
10 mouse study showed no changes at all.

11           So it's really that one study with, I think,  
12 statistically significant changes in sperm count, but no  
13 histologic changes, because that wasn't actually done, and  
14 no weight changes.

15           That's all I have.

16           CHAIRPERSON GOLD: Okay. Thank you.

17           So does anyone on the Panel have questions or  
18 comments for Dr. Baskin?

19           Dr. Rocca.

20           COMMITTEE MEMBER ROCCA: I have one technical  
21 question for you. In that study that was significant,  
22 that there was reduced weights of the reproductive organs,  
23 and it also says reduced weight of seminal vesicles. Is  
24 there perchance just an issue of concentration being  
25 different?

1 I don't know how that -- so my question is will  
2 that affect somehow the counts, and they're pretty  
3 variable I know?

4 COMMITTEE MEMBER BASKIN: The answer is yes. And  
5 I think you know more about this than I probably do. So  
6 the answer is yes. So I want to see -- I would have liked  
7 to have seen some more data that was a little more  
8 definitive than just a statistically significant number  
9 without the histology describing, you know, maturation  
10 degeneration, arrest, or, you know, fibrosis in the  
11 interstitial space, change in Leydig cells, that type of  
12 thing. And they didn't show evidence of that, because  
13 they didn't measure it. They measured plenty of other  
14 stuff related to the female side, which was actually quite  
15 provocative.

16 CHAIRPERSON GOLD: Okay. Thank you.

17 Any further comments, questions for either Dr.  
18 VandeVoort or Dr. Baskin?

19 Are we ready to vote?

20 Okay. So we're going to vote on the two  
21 compounds separately. I have two separate voting things.

22 Can we vote on them together?

23 CHIEF COUNSEL MONAHAN-CUMMINGS: I think it's  
24 fine if you want to vote together, unless there -- I  
25 mean -- no, okay. They're listed separately, so I guess

1 we need to do them separately.

2 CHAIRPERSON GOLD: Okay. So we will vote on them  
3 separately.

4 DIRECTOR ALEXEEFF: I just had a question. Dr.  
5 Baskin, was there any additional data on the epoxide worth  
6 mentioning in the male? There seemed to be some different  
7 studies.

8 COMMITTEE MEMBER BASKIN: Can you clarify.  
9 There's VCH and there's VCD. And the three studies in the  
10 VCH were the ones I was alluding to. And in respect to  
11 the VCD, Hoyer looked at both compounds and there was no  
12 effect of the -- in VCH. And he looked at the same  
13 compound in VCD, and there was nothing that changed any of  
14 the data.

15 DIRECTOR ALEXEEFF: Thank you.

16 CHAIRPERSON GOLD: Okay. Now, are we ready to  
17 vote?

18 Okay. So the first question is has  
19 4-vinyl-cyclohexene been clearly shown through  
20 scientifically valid testing, according to generally  
21 accepted principles to cause developmental toxicity? If  
22 you believe yes, please raise your hand.

23 (No hands raised.)

24 CHAIRPERSON GOLD: I see zero.

25 If you believe no, please raise your hand.

1 (Hands raised.)

2 CHAIRPERSON GOLD: So no abstentions.

3 The second question, has 4-vinyl-cyclohexene been  
4 clearly shown through scientifically valid testing,  
5 according to generally accepted principles, to cause  
6 female reproductive toxicity? If you believe yes, please  
7 raise your hand.

8 (Hands raised.)

9 CHAIRPERSON GOLD: We have six.

10 Okay. So no noes and no abstentions.

11 Has 4-vinyl-cyclohexene been clearly shown  
12 through scientifically valid testing, according to  
13 generally accepted principles to cause male reproductive  
14 toxicity. If you believe yes, please raise your hand.

15 (No hands raised.)

16 CHAIRPERSON GOLD: If you believe no, please  
17 raise your hand.

18 (Hands raised.)

19 CHAIRPERSON GOLD: If you're abstaining --

20 DIRECTOR ALEXEEFF: How many on no?

21 CHAIRPERSON GOLD: Yeah. Can I see the hands for  
22 no again.

23 (Hands raised.)

24 CHAIRPERSON GOLD: Okay. So I think we'll call  
25 it six.

1           Okay. So for 4-vinyl-cyclohexene this will  
2 remain listed for female reproductive toxicity.

3           Next, has vinyl cyclohexene dioxide been clearly  
4 shown through scientifically valid testing, according to  
5 generally accepted principles to cause developmental  
6 toxicity? If you believe yes, please raise your hand.

7           (No hands raised.)

8           CHAIRPERSON GOLD: None.

9           If you believe no, please raise your hand.

10          (Hands raised.)

11          CHAIRPERSON GOLD: Three, four, five -- I think  
12 that was six.

13          No abstentions.

14          Has vinyl cyclohexene dioxide been clearly shown  
15 through scientifically valid testing, according to  
16 generally accepted principles to cause female reproductive  
17 toxicity. If believe yes, please raise your hand.

18          (Hands raised.)

19          CHAIRPERSON GOLD: Six. So that's no noes and no  
20 abstentions.

21          And has vinyl cyclohexene dioxide been clearly  
22 shown through scientifically testing, according to  
23 generally accepted principles to cause male reproductive  
24 toxicity? If yes, please raise your hand.

25          (No hands raised.)

1 CHAIRPERSON GOLD: If no, please raise your hand.

2 (Hands raised.)

3 CHAIRPERSON GOLD: Six.

4 No abstentions.

5 And therefore, this will remain listed for female  
6 reproductive toxicity.

7 So thank you, everyone for your work and your  
8 thoughtfulness about this.

9 DIRECTOR ALEXEEFF: Can I make a comment?

10 CHAIRPERSON GOLD: Yes.

11 DIRECTOR ALEXEEFF: Thank you. I just wanted to  
12 comment on a comment that Dr. VandeVoort meant -- made.  
13 And that has to do with the issue of trying to interpret  
14 the animal data which it sounds like it did in its  
15 applicability to humans. So actually there's been a court  
16 case on this. And I think Carol can opine on this, if I'm  
17 not correct. But basically from -- even -- if it's been  
18 shown in animals, non-human species, then that is  
19 sufficient for listing whether or not you think or you  
20 don't think it causes it in humans. So that's just  
21 something I just wanted to make. So although it's great  
22 to have a full understanding, it's not a requirement at  
23 all.

24 CHAIRPERSON GOLD: Okay. So we'll go to the next  
25 item on the agenda, which is a discussion of how to

1 present epidemiologic data, and how to summarize it really  
2 for purposes of the Committee to review. And in this  
3 context, I -- did you want to say something, Carol? After  
4 I get done.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: We can't hear  
6 you.

7 CHAIRPERSON GOLD: You can't hear me. Oh, okay.  
8 I'm sorry.

9 So in the context of how to summarize, in a  
10 tabular form, epidemiologic studies for the future, I put  
11 together a draft table, which I sent to OEHHA staff, in  
12 which they have circulated to the Committee. And I  
13 believe it was posted, but I'm not sure. But we didn't  
14 invite public comment because we were just going to have a  
15 discussion about this. This is just a draft. I would --  
16 we were hoping to get input from the entire Panel on  
17 revisions or changes, additions, whatever.

18 However, we did receive some public comment,  
19 which has been circulated to the Committee as well. And  
20 also I think in the context of today's discussion, we've  
21 seen some additional suggestions that might come from Dr.  
22 Woodruff did, for example.

23 And so really what we want to do is open up the  
24 discussion. The reason it wasn't for public commentary is  
25 we weren't planning on taking a vote. We just want to

1 have a discussion about this. And as I say, this is a  
2 draft which will probably get revised now. And hopefully,  
3 we will eventually reach some sort of consensus on what it  
4 ought to look like. The public comment we did receive was  
5 based on an environmental consulting group that has done  
6 some work on -- and has developed a white paper, in fact,  
7 on how to present weight of the evidence material, and  
8 made suggestions about what the tables ought to contain.  
9 And expressed the fear that if we didn't design the tables  
10 correctly that we might exclude some studies or we might  
11 exclude some data, and thus have the potential to  
12 misrepresent the situation.

13           So I think it is worth considering those comments  
14 that we received, but I'd also like to hear discussion  
15 from the Panel. So I'm really going to be quiet and take  
16 notes. I mean, I may respond to things, but this was just  
17 a starting point, a draft, and we'll make revisions.

18           So, Dr. Baskin, looks like you have something to  
19 say.

20           COMMITTEE MEMBER BASKIN: Not surprising. I  
21 think it's a great idea, but I still think we should have  
22 the source data. I want to see a table when something is  
23 statistically significant. For example, in the last  
24 paper, it would show a pair is statistically significant,  
25 but potentially clinically or environmentally relevant.

1           So those are two things that the experts -- and  
2 I'm an expert in a few things, not many things, but all of  
3 us are experts in certain things. So I think source data  
4 is critical especially for histologic pictures and how  
5 experiments are designed. And so without the papers I  
6 think we're really in trouble. That would be my major  
7 comment.

8           CHAIRPERSON GOLD: I'd just ask you to clarify.  
9 When you say source data, do you mean you want to see  
10 paper or do you want --

11           COMMITTEE MEMBER BASKIN: I want to see the  
12 original papers.

13           CHAIRPERSON GOLD: Oh, yeah. So let me clarify.  
14 I don't think this is to replace that.

15           COMMITTEE MEMBER BASKIN: Okay. And I'm assuming  
16 it wasn't, but I think we still need to look at the  
17 papers.

18           CHAIRPERSON GOLD: No, absolutely. I actually  
19 think what we did today is a good model, where you have  
20 tables that summarized the papers, but you also had the  
21 papers. And we would envision it would always be that way  
22 in the future.

23           And then the second thing was sort of the context  
24 or clinical significance of anything that's statistically  
25 significant.

1           COMMITTEE MEMBER BASKIN: Right. So in other  
2 words, if somebody is going to ferret through these and  
3 extract information, it might be all well and dandy, but  
4 how you extract that information is very important.

5           The next point which will hopefully be relevant  
6 in the future is a lot of journals are, you know, rating  
7 the papers, so to, speak you know, JAMA, you know Nature,  
8 you know up-to-date for clinical medicine, you know, what  
9 level of evidence is this to start with?

10           So some of that will be done for us, so to speak.  
11 You know, this is a case report or this is a prospective,  
12 you know, well done study. And then as our literature  
13 matures, I'm assuming that will be included inherently.

14           CHAIRPERSON GOLD: So are you suggesting that we  
15 should actually be rating them or?

16           COMMITTEE MEMBER BASKIN: No, it's -- I think we  
17 should do what we're still doing. I mean, ultimately,  
18 other people will do that for us, but we still have to  
19 take that, but use our own expertise.

20           CHAIRPERSON GOLD: Yeah. I would just make the  
21 comment, I remember a couple of years ago, something that  
22 I was reviewing, I kind of ranked the papers according to  
23 the -- what their -- I thought their quality was and  
24 presented the findings according to quality. And that  
25 might be an approach that we could think about taking.

1 Other comments?

2 Dr. Woodruff.

3 COMMITTEE MEMBER WOODRUFF: Yes. Thank you. I  
4 think it's an excellent idea to have the information from  
5 the tables laid -- information from the papers extracted  
6 in a way that's similar across all the papers. It will be  
7 easier for us to see the relevant aspects of the studies  
8 as well as the study design. And I think this is a -- so  
9 this is a great start.

10 I would say that there's a lot of experience on  
11 how to do this in the clinical medicine field,  
12 particularly with Cochrane Reviews as well as GRADE. And  
13 so there's some lessons there, though those are  
14 clinical -- most of those are -- those are almost all  
15 exclusively randomized control clinical trials, and don't  
16 necessarily address the kind of studies we would see here,  
17 which are observational human studies.

18 So there is work that's going on at the National  
19 Toxicology Program, and some work that we have been  
20 involved with, to look at tools for extracting relevant  
21 information from human observational studies in a  
22 systematic manner that is also consistent with the  
23 experience that -- the empirically based experience from  
24 the clinical medicine field.

25 So I think that it would be worth having --

1 looking at some of those tools to help guide these kinds  
2 of tables development, and the kind of -- like, there's --  
3 because this provides some of the summary information, but  
4 it doesn't probably have all the information you're going  
5 to want to extract from the studies in order to look at  
6 the various aspects related to quality nor -- and what the  
7 studies find.

8           So, for example, while you have the reference and  
9 the study design, and the outcome, and some of the factors  
10 in here, some of the things like looking at study quality  
11 is going to be a separate exercise and probably has to be  
12 done in a different way, consistent with how this is done  
13 in either the clinical literature, but also looking at  
14 what's being developed through NTP or the work that we're  
15 doing in the systematic reviews at UCSF.

16           So I have some specific comments.

17           CHAIRPERSON GOLD: Can I just ask, are you  
18 suggesting then that we -- because over here we just said  
19 a minute ago that we wouldn't --

20           COMMITTEE MEMBER WOODRUFF: I don't think we  
21 should rate an overall quality score. Though I think  
22 there are tools now to evaluate both internal validity, in  
23 terms of risk of bias elements. And I do think that that  
24 would be a very valuable way to look at different  
25 methodological features which have been shown, at least

1 empirically, to influence study findings. So, yes, I do  
2 think we should do that. Though I would say that a new --  
3 one numerical score has been moved away from in the  
4 clinical field, so I wouldn't say to do that.

5 CHAIRPERSON GOLD: Okay.

6 COMMITTEE MEMBER WOODRUFF: I was thinking there  
7 was somebody who's working on a -- anyway. So if we have  
8 specific suggestions, should we just send them to you?  
9 How does that work?

10 CHAIRPERSON GOLD: Yeah. So that was my next  
11 question is how to proceed? Because I mean we could have  
12 people send them to me and I could compile them, but we  
13 don't want to be doing anything behind closed doors. So  
14 if you want us to send them to staff and they'll compile  
15 them, we can do that.

16 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, this is  
17 not -- I mean, this is kind of a procedural element for  
18 your group. And so it's not as much of a concern about,  
19 you know, collecting information and coming up with  
20 another version of something. So it kind of -- whatever  
21 your preference would be, we're happy to collect  
22 information, and kind of put it together and provide it to  
23 you maybe at the next meeting, or just prior to the next  
24 meeting or something. So it's kind of where your comfort  
25 level is.

1           CHAIRPERSON GOLD: Well, I'm comfortable with  
2 having the staff accumulate it for us.

3           (Laughter.)

4           CHIEF COUNSEL MONAHAN-CUMMINGS: And I also  
5 wonder if there's anybody in the public that might want to  
6 talk about it too, or submit comments, you know, later as  
7 well.

8           CHAIRPERSON GOLD: Okay. Well, I can open it up  
9 for public comment now, but if we could designate a staff  
10 person to receive comments, so that we know who to send  
11 them to.

12           Who are you pointing at?

13           DIRECTOR ALEXEEFF: Cynthia Oshita.

14           CHAIRPERSON GOLD: Okay. All right. And the  
15 point being to send comments to Cynthia who will compile  
16 them, and then sometime before the next meeting, circulate  
17 them to us. And I guess if there's another iteration --  
18 maybe have -- do that enough in advance so that if people  
19 want to do one more stab at it to edit a little bit, we  
20 could send those, so that we'd have -- okay.

21           So maybe I will ask at this time, if there are  
22 any public comments beyond what the Committee has  
23 recommended for revisions to this table?

24           DR. LAWYER: Is this on?

25           Yeah.

1 Dr. Arthur Lawyer. TSG, Davis, California.

2 Only a public comment on public comments.

3 There's not very many of us in this audience, but I could  
4 think of quite a few people that would want to make  
5 comments on it, and it could be useful input into the  
6 system. So I know it was made public that this was an  
7 agenda item, but I don't think it went out specifically  
8 requesting public comments. So it probably would be good  
9 for OEHHA to say that something is being developed and ask  
10 for public comments beyond just --

11 CHAIRPERSON GOLD: Okay. We'll talk about the  
12 mechanism. Thank you for the thought, yeah. We can talk  
13 about that.

14 And Dr. Alexeeff.

15 DIRECTOR ALEXEEFF: As we're trying to work this  
16 table out, I mean the previous table that Dr. Rocca came  
17 up with I thought was very helpful, and us, in terms of  
18 organizing our information as we look through the studies.  
19 And I think I was looking at the next group of chemicals  
20 in the future meeting as to whether or not any of them  
21 will actually have epidemiologic data. It looks like  
22 one -- at least one will. I'm not sure about the other  
23 ones, but -- so we may actually -- we could try to put it  
24 into practice, and see, you know, what's there, what's not  
25 there, what's missing, or is it easy to actually identify

1 the information that fits those criteria and that kind of  
2 thing.

3 CHAIRPERSON GOLD: Yeah. Lauren.

4 DR. ZEISE: Yeah. This is a follow up on  
5 George's comments, so we're already compiling information  
6 for the next meeting. And we've started compiling it in  
7 much the same way as you have here. So if there are --  
8 I'm wondering if the way to proceed would be that for that  
9 chemical that will be coming in front of the Committee, we  
10 kind of continue along those lines, unless we have a  
11 really clear idea about how we might add a column or make  
12 a change to this table today, but then also then as a  
13 separate discussion at the meeting, we'll have had the  
14 opportunity to look at data organized that way. And also,  
15 we'll have had some additional thoughts. Maybe we could  
16 have another comment with a public comment period.

17 CHAIRPERSON GOLD: So I believe we're trying to  
18 schedule the next meeting for the spring, right?

19 DR. ZEISE: (Nods head.)

20 CHAIRPERSON GOLD: So I wonder if we could give  
21 the panel a deadline to get comments to Cynthia about this  
22 table, I don't know, by January 1st let's say. I'm just  
23 throwing that out. If you don't feel like that's good,  
24 then -- so that you will have the next iteration of the  
25 table. And maybe we won't have time for everybody to

1 review it, you know, but we'll try it out, as you suggest,  
2 with the next chemical that has some epidemiologic data  
3 for the spring meeting, and see how it works. And then  
4 have a discussion item on the agenda for that meeting  
5 about the table. Did it work? Do we need to tweak it  
6 some more? How does that sound?

7 DIRECTOR ALEXEEFF: Yeah. Probably I wouldn't  
8 suggest January 1st, but maybe January 15th then. And  
9 then, you know, I think we'll see if it -- I'll talk --  
10 maybe it will make sense for us to just post this. Has  
11 this already been posted, this table been posted?

12 CHIEF COUNSEL MONAHAN-CUMMINGS: I don't think  
13 it's actually been posted, or was it? Oh, I'm sorry.  
14 Maybe -- the table has been posted. We didn't  
15 specifically ask for comment on that.

16 DIRECTOR ALEXEEFF: Right. So we could just ask  
17 if there's any comments, people can submit it by the 15th  
18 to us as well. And that way, we can just look at it,  
19 because we have had, you know, a number of issues raised  
20 from members of the public about, you know, procedural  
21 things that don't actually -- aren't about a specific  
22 chemical. And so there seems to be an interest in that,  
23 if there is something that is missing or could be  
24 clarified or something.

25 CHAIRPERSON GOLD: I'll get to Dr. Sandy. One

1 second. So this January 15th deadline would apply to the  
2 Panel, but also to public comment. So to answer the point  
3 back there, if we post it and invite public comment and  
4 ask them to have all their comments in by January 15th,  
5 then Cynthia can compile it and come up with a new table  
6 that we will try out.

7 Dr. Sandy, first.

8 DR. SANDY: Yes. I would like to suggest we move  
9 that up, that deadline, because January 15th is too short  
10 a time after that before we need to release the document  
11 for your next meeting. So perhaps December 20th or -- I  
12 don't have a calendar in front of me, but --

13 CHAIRPERSON GOLD: Dr. Zeise, you have a comment.

14 DR. ZEISE: Yes, it's just related to this one as  
15 well. Again, we've already started compiling the  
16 information. It's pretty time consuming. So if there are  
17 small changes, addition of a column, I think we could  
18 accommodate that. But if we find we're not able -- let's  
19 say that we have a number of suggestions to do something  
20 very different, perhaps what we could do is present you  
21 the information in the way that we're compiling it now,  
22 maybe with a couple of changes. And then at that meeting,  
23 you can come up with a new table, but it might be that we  
24 won't have enough time to fully make changes to the table  
25 we're already working on.

1           Go ahead, George.

2           DIRECTOR ALEXEEFF: That's what I was suggesting  
3 with regards to we're already trying to use this table,  
4 and then we'll see if it works, and then we'll have  
5 comments. So I think there could be the table that we --  
6 the tables we come up with, and then there will also be  
7 comments. And so there will be a discussion at the next  
8 meeting about how it all kind of played out.

9           And as Dr. Zeise says, we can make some small  
10 changes, but just so that we can get the information to  
11 the Panel, if there's some very interesting, but  
12 time-consuming suggestions, then that could be discussed  
13 maybe at the next meeting.

14           CHAIRPERSON GOLD: Dr. VandeVoort.

15           COMMITTEE MEMBER VANDEVOORT: Thank you. I'm  
16 kind of comparing this table with the table that we've  
17 been working with this time. And one of the things that  
18 I'm kind of wondering about, and maybe I'm kind of missing  
19 something here, is there's a column in our current table  
20 that talks about what endpoints were assessed. And in  
21 this proposed table, I'm not seeing where that information  
22 would be.

23           CHAIRPERSON GOLD: Outcomes of interest.

24           COMMITTEE MEMBER VANDEVOORT: But then what if it  
25 wasn't interesting? What if you have a null finding, and

1 so --

2 CHAIRPERSON GOLD: Well, no, outcomes of  
3 interest -- so maybe the terminology needs to be fixed.  
4 But it just says that's what the hypothesis or objective  
5 was focusing on, whether it turned out significant or not.

6 COMMITTEE MEMBER VANDEVOORT: Okay. Because I  
7 think that's really important, because sometimes --

8 CHAIRPERSON GOLD: You could take out the "of  
9 interest" if you like, if that -- but I really think when  
10 people state their objectives or their hypotheses, they  
11 say this is the outcome we're interested in. This is the  
12 exposure and this is the outcome.

13 COMMITTEE MEMBER VANDEVOORT: I think knowing  
14 what they may -- as long as that table has -- that column  
15 has the actual endpoints that were measured or what that  
16 data was, because otherwise, in the current table that  
17 we're using, sometimes you can go back. You can look at  
18 what they measured, and if there wasn't any significant  
19 effect in a particular area, you know that there wasn't an  
20 effect, as opposed to you just don't know if they were  
21 even looking for that.

22 CHAIRPERSON GOLD: So we can easily change the  
23 title of that column to endpoints measured.

24 COMMITTEE MEMBER VANDEVOORT: Okay.

25 CHAIRPERSON GOLD: Dr. Kaufman.

1 DR. KAUFMAN: I can just clarify that. Dr. Farla  
2 Kaufman, staff toxicologist. Currently, that column  
3 reflects all of the outcomes examined.

4 COMMITTEE MEMBER VANDEVOORT: Okay.

5 CHAIRPERSON GOLD: Okay. So I'm -- Dr. Baskin.

6 COMMITTEE MEMBER BASKIN: I like the way you guys  
7 do your tables. They're outstanding. It allows me to  
8 look and say, hmm, there's a statistically significant  
9 issue here or a finding. Then I can go to the paper and  
10 judge for myself, whether I think it's real or not. I  
11 think that's what my job is, so that should still be in  
12 there in some form.

13 CHAIRPERSON GOLD: Dr. Woodruff.

14 COMMITTEE MEMBER WOODRUFF: Yeah. I like the  
15 tables too. I thought they were really extremely helpful.  
16 I think we should -- I think it would be very helpful to  
17 have -- what I like about this, the one for the epi is  
18 that it has the odds ratio with relative -- or the  
19 relative risk with the confidence intervals.

20 I think we have to be careful about -- I don't  
21 think we should just put things that are statistically  
22 significant in the table, because that's often -- that can  
23 be driven by the sample size and the power of the study.  
24 And also, I would encourage eventually to look at  
25 approaches. And this was recommended recently in the

1 National Academy of Sciences report on arsenic for EPA to  
2 start to look to methods for meta-analysis. I know those  
3 are all on epi studies, but I believe -- I think you know  
4 that the National Academy is also doing a whole evaluation  
5 on how to evaluate -- how EPA should evaluate noncancer  
6 endpoints. So I believe that will be -- provide some  
7 other useful information for our Committee.

8 CHAIRPERSON GOLD: So I want to get back to this  
9 point about changes in deadlines and things like that. If  
10 we suggest that anybody who has -- including the public,  
11 has any -- is there a specified amount of time that we  
12 have to have for public comment?

13 Is it six weeks or what is it?

14 CHIEF COUNSEL MONAHAN-CUMMINGS: No. We have to  
15 put items on the agenda at least 10 days prior to a  
16 meeting, but there's not a set amount for something like  
17 this that's a procedural issue.

18 CHAIRPERSON GOLD: For getting public comments,  
19 there's no set amount of time?

20 CHIEF COUNSEL MONAHAN-CUMMINGS: Uh-huh.

21 CHAIRPERSON GOLD: So if we asked the Committee  
22 and the public, because this will be posted, to get any  
23 revisions suggested to you by December 15th. And then  
24 we'll just use that as the draft number 2 for the meeting  
25 in the spring. And then we'll discuss in the spring --

1 we'll have an agenda item about how the revised table  
2 worked, how does that sound?

3 DR. ZEISE: Okay.

4 CHAIRPERSON GOLD: Dr. Rocca.

5 COMMITTEE MEMBER WOODRUFF: That works for me.

6 CHAIRPERSON GOLD: Excuse me?

7 COMMITTEE MEMBER WOODRUFF: I like that schedule.

8 CHAIRPERSON GOLD: Okay. Dr. Rocca.

9 COMMITTEE MEMBER ROCCA: One other comment I  
10 wanted to make upon the current tables. I thought they  
11 were very useful as well, but I wasn't quite clear on the  
12 organization. What would be helpful to me is to have all  
13 the same study types organized in a row. I don't know,  
14 because it's not by date. It's not alphabetically. I  
15 don't know how they're organized.

16 But, for example, all of the ones that looked at  
17 chromosomal aberrations all together, all the ones that  
18 looked at dominant lethal all together. It makes it a lot  
19 years to compare the study designs and doses. So if  
20 that's possible, I would appreciate that.

21 CHAIRPERSON GOLD: Can I just say before I go to  
22 Dr. Woodruff, I had a similar question, I couldn't tell if  
23 it was by date or alphabetical, but I think the one  
24 potential problem with doing it by outcome of interest is  
25 if you have a paper that has multiple comes, where do you

1 put it?

2 COMMITTEE MEMBER ROCCA: I'm not so concerned  
3 about the outcome as the experimental design. So if we  
4 have embryo-fetal studies that are done a certain way, we  
5 would want to group those differently from the chromosomal  
6 aberrations or from the male toxicities, not necessarily  
7 based upon the results, but based upon the design of the  
8 study, and more what they're looking for.

9 CHAIRPERSON GOLD: If that's feasible.

10 So Dr. Woodruff.

11 COMMITTEE MEMBER WOODRUFF: Yeah, I had two  
12 comments. One is I agree about looking at this by  
13 endpoint, because this seems to be organized by study.  
14 And so what you would end up having is you'd have all --  
15 whatever -- the chromosomal aberration studies, and so you  
16 might be repeating studies under different endpoints, but  
17 it would be a lot easier if we had the endpoints all  
18 grouped together.

19 So one study -- and this was true for a lot of  
20 the studies that we looked at today. One study has  
21 multiple endpoints. And really what we care is looking at  
22 the endpoints across different studies, even if we're  
23 repeating the studies in different places. So I think  
24 that would be -- I think if you do the data extraction a  
25 little bit like NTP is doing it, that that will come

1 out -- will be organized in that fashion. I know you're  
2 looking at me like I'm --

3 CHAIRPERSON GOLD: Well, what I might suggest is  
4 broad groupings like developmental toxicity, female and  
5 male reproductive.

6 COMMITTEE MEMBER WOODRUFF: Well, even that would  
7 be helpful. And so you might have a study that's repeated  
8 within each of those -- the author person, the source, but  
9 it would be a lot easier -- but that's okay, right,  
10 because for us we're looking at -- we're really  
11 interesting in looking at it within a group. I think if  
12 you -- and then, you know, there's these -- the way that  
13 some of these -- okay, and I keep going back to NTP, but  
14 they have a data extraction tool, so if you extract -- and  
15 we have been developing something too, you extract the  
16 data in the same way across the studies, it will be easier  
17 to group them like this.

18 CHAIRPERSON GOLD: Dr. Zeise, you have a comment.

19 DR. ZEISE: So these are really good suggestions  
20 and we'll try to implement them with the next set that are  
21 coming.

22 COMMITTEE MEMBER WOODRUFF: Well, I know and I  
23 didn't mean the next time necessarily.

24 DR. ZEISE: But maybe what we could also do is  
25 take comments on our animal tables as well, along with the

1 epidemiology tables and have a discussion at the next  
2 meeting about both the organization of data for the epi,  
3 as well as for the animal studies.

4           Meanwhile, we'll try to see with -- because again  
5 for the next meeting, there's many studies, a number of  
6 chemicals again, and so we've already done a lot of work  
7 pulling together the data. So things that can be changed  
8 easily, we'll go ahead and do that. And meanwhile, we'll  
9 have a robust discussion of both at the next meeting.

10 Does that work for people?

11           CHAIRPERSON GOLD: Dr. Pessah.

12           COMMITTEE MEMBER PESSAH: So as we saw today,  
13 there are some compounds that have extensive peer-reviewed  
14 literature and mechanism, which really makes it easy to  
15 evaluate those studies. Other compounds where it's almost  
16 100 percent, if not 100 percent, proprietary in-house kind  
17 of, do we evaluate those differently?

18           I guess that's always been a question in my mind.  
19 If you're going to rate epidemiological studies, how do  
20 you rate animal studies, depending on where they're  
21 published or not published or not even peer reviewed? So  
22 that's a discussion point.

23           CHAIRPERSON GOLD: Yeah.

24           COMMITTEE MEMBER ROCCA: Yeah, we were talking  
25 about that a little bit earlier, that peer-reviewed papers

1 you would normally expect to be of good quality. They  
2 might not have all of the nitty-gritty data that a full  
3 GLP study will have. And a lot of times, they won't have  
4 the robustness that a GLP study has. So I don't know if  
5 people are aware of what good laboratory practices are,  
6 but when we keep saying a GLP study, there are federal  
7 regulations that say how these studies must be run, and  
8 there are inspectors who go and check all those things.

9           And so just the fact that it's not peer reviewed  
10 in a journal, and frequently won't be, because this isn't  
11 data that the manufacturer wants and/or it's negative data  
12 that nobody wants to publish, doesn't necessarily mean  
13 that it's not good data. It is different to evaluate it  
14 though, because you really have to go through all those  
15 pages and figure it out for yourself. But I think the  
16 quality of either of those could potentially be very good  
17 or very bad.

18           CHAIRPERSON GOLD: I happen to be a personal  
19 proponent of publishing negative studies, because I think  
20 they're as important as the positive ones. But I know not  
21 everybody believes that.

22           So any other comments about this or should we go  
23 to the next agenda item?

24           Do we have a plan? Are you comfortable with the  
25 plan?

1           Excellent.

2           So I believe we have staff updates next.

3           I thank the Committee for its input on this.

4           MS. OSHITA: Okay. Good afternoon. I'm just  
5 very quickly going to update you on the administrative  
6 listings that OEHHA has been working on since you last met  
7 earlier this year. OEHHA has added -- administratively  
8 added nine chemicals to the Prop 65 list. Two were added  
9 for reproductive toxicity, and seven were added as causing  
10 cancer. And the additions to the list as well as their  
11 effective dates are shown on this slide right here.

12           You'll note on the slide that bisphenol A was  
13 subsequently delisted on April 19th 2013. And Carol will  
14 discuss a little bit further the status of bisphenol A  
15 further in her litigation update.

16           But there are also several other chemicals that  
17 are under consideration for administrative listing, which  
18 includes trichloroethylene, methyl isobutyl ketone as  
19 causing reproductive toxicity. And then also  
20 beta-myrcene, pulegone, and the emissions of high  
21 temperature unrefined rapeseed oil as causing cancer.

22           With the exception of the rapeseed oil, we've  
23 received comments on each of the chemicals, and they're  
24 under review. The comment period for the emissions of  
25 high temperature, unrefined rapeseed oil is still open,

1 and will close on December 16th 2013.

2 Then in terms of the safe harbor levels, since  
3 you last met, we've adopted several maximum allowable dose  
4 levels.

5 --o0o--

6 MS. OSHITA: The chemicals and their respective  
7 levels are shown here on this slide right here. And  
8 that's the update.

9 CHAIRPERSON GOLD: Thank you.

10 Dr. Woodruff.

11 COMMITTEE MEMBER WOODRUFF: Did you say that  
12 you're considering TCE, is that right?

13 MS. OSHITA: Yes, administratively.

14 COMMITTEE MEMBER WOODRUFF: Is it not listed?

15 DR. ZEISE: Trichloroethylene is listed as a  
16 carcinogen under the Prop 65, but it's not listed for  
17 developmental outcomes -- or sorry, reproductive toxicity.

18 COMMITTEE MEMBER WOODRUFF: Got it.

19 CHAIRPERSON GOLD: Do we have other staff items?

20 CHIEF COUNSEL MONAHAN-CUMMINGS: Hi. This is  
21 Carol again.

22 I just wanted to give you a quick update on some  
23 of our litigation and regulatory work. Cindy mentioned  
24 that we had briefly listed BPA as a developmental toxicant  
25 under Prop 65. We had done that based on a report from

1 the National Toxicology Program that identified it as a  
2 developmental toxin. We were sued by the American  
3 Chemistry Council, and ordered by a court to delist the  
4 chemical until the case is resolved.

5           Subsequent to that, the National(sic) Resources  
6 Defense Council intervened in the case as a co-defendant.  
7 And so right now, we are in the process -- very early  
8 processes of the trial court level motion practice. We  
9 don't expect anything to really resolve at the trial level  
10 until sometime perhaps late next year. And then we would  
11 anticipate that one side or the other will probably appeal  
12 the matter.

13           And so at the present time, BPA is not listed.  
14 We do have alternatives for listing that chemical, but we  
15 haven't proceeded with those yet.

16           In terms of other litigation, we have a case  
17 right now that's pending. Syngenta sued our office last  
18 year regarding a safe harbor level we had changed for the  
19 chemical chlorothalonil. That's actually a carcinogen not  
20 a reproductive toxicant, but that case is still pending.  
21 Kind of in the same posture as the other one, we're in the  
22 trial court. Motions are pending and it's not clear when  
23 that case will be resolved or whether it will be appealed.

24           I think I mentioned to you several times  
25 previously that in 2007, we were sued by the Sierra Club

1 and some labor organizations. Actually, it was the  
2 Governor, the Agency and OEHHA were sued for not timely  
3 making listing decisions under Prop 65 under three of our  
4 four listing mechanisms.

5 And we recently settled that case, and -- except  
6 for the attorney's fees part is still pending. But in any  
7 event, the changes that affect this Committee and DART  
8 listings have to do with the time frames for listing.  
9 Decisions on certain chemicals are set out in the  
10 agreements. Some of our decisions have to be made in the  
11 next two or three months, some of them sometime next year,  
12 and other ones not till 2015. But we have ongoing  
13 responsibilities to make listing decisions in a pretty  
14 tight time frame for us.

15 And so you are on our list of people that we let  
16 know when we're making listing decisions and adopting  
17 other regulations, like safe harbors. And so if you have  
18 any questions on those, please let us know, but you may  
19 see more activity in those areas.

20 We also agreed to shorten some time periods for  
21 public comments. And that includes on materials that are  
22 prepared for this Committee. We shortened the public  
23 comment period for HIDs to 45 days. It used to be 60  
24 days. And we eliminated a informal comment period for  
25 authoritative body listings. And those were both done

1 under the agreement as methods for trying to speed up the  
2 process for making decisions on listing or not listing  
3 chemicals.

4 We also agreed to do a couple of regulatory  
5 actions. One of them that affects this Committee is that  
6 we are in the process of adopting more specific  
7 regulations about the qualifications of the members of  
8 this Committee and the CIC. You'll be happy to know that  
9 you all qualify under the proposed regulations, and we  
10 checked that before we proposed them.

11 (Laughter.)

12 CHIEF COUNSEL MONAHAN-CUMMINGS: But anyway,  
13 essentially what we were trying to do is give some clarity  
14 to the existing regulations, because they were not  
15 entirely clear on what level of expertise different folks  
16 needed to have, and how you might measure that. And so we  
17 expect those regulations to be completed and adopted in  
18 the next few months.

19 We also are -- we have to at least start the  
20 process for adopting a regulation for Labor Code listings.  
21 We heard a lot about the Labor Code today. And we don't  
22 currently have any regulations for those listings. We  
23 have floated some ideas from time to time. And we do  
24 expect to propose a regulation formally within the next  
25 three or four months. And you're absolutely welcome to

1 comment on any of those regulatory actions.

2 We also have a project that you may or may not  
3 hear about, where we're planning to adopt more specific  
4 regulations concerning warnings for chemicals that are  
5 listed under Prop 65 that would actually give more  
6 information to consumers about the types of endpoints for  
7 the chemicals, ways to avoid exposure where they can,  
8 actual -- the names of the chemicals they're being exposed  
9 to, things like that, that aren't currently required that  
10 we think would really improve the effectiveness of the  
11 warnings.

12 And so that will be an open public process. And  
13 again, you're welcome to participate. And we'll -- I  
14 believe that you're on -- you're all on our listserv, and  
15 you get those notices. If not, let us know and we'll make  
16 sure.

17 Any questions?

18 Thank you.

19 CHAIRPERSON GOLD: Thank you. Any questions for  
20 Carol -- counsel?

21 Okay. So the last thing is a summary of our  
22 actions today, which is Dr. Alexeeff.

23 DIRECTOR ALEXEEFF: Well, I want to thank the  
24 public for tuning in and being present here for this  
25 meeting. And I want to thank the Committee for all the

1 hard work. We were not sure how much of this agenda we'd  
2 actually accomplish today, but we seem to have  
3 accomplished it all. So that's great. That's wonderful.

4           And I think in part it has to do -- well, with  
5 obviously the materials I guess were very helpful, and the  
6 hard work on the members, in terms of preparing for this  
7 meeting. It was very clear how well prepared all the  
8 members were. And maybe the organization that we put in  
9 the tabular form and such was also very helpful, just to  
10 find the information.

11           So in terms of identifying chemicals that cause  
12 reproductive toxicity, nine chemicals were reconsidered  
13 today. And the chemicals that were actually -- that will  
14 be remaining on the list are the following: So  
15 N,N'-dimethylacetamide was clearly shown to be  
16 scientifically valid testing according to principles to  
17 cause both developmental toxicity and male reproductive  
18 toxicity. So that will remain on the list for those two  
19 endpoints in particular.

20           And then 2-chloropropionic acid was clearly shown  
21 through scientifically valid testing according to  
22 generally accepted principles to cause male reproductive  
23 toxicity, yes. So it will remain on the list for that  
24 particular endpoint.

25           And then 4-vinyl-cyclohexene was clearly shown

1 through scientifically valid testing, according to  
2 generally accepted principles to cause female reproductive  
3 toxicity. And it's sister compound vinyl cyclohexene  
4 dioxide was also shown, through scientifically valid  
5 method -- testing, according to generally accepted  
6 principles, to cause female reproductive toxicity.

7           So the chemicals that were considered, but not  
8 found to meet the criteria for remaining on the list were  
9 tert-amyl methyl ether, 2-ethylhexanoic acid,  
10 ethyl-tert-butyl ether, p,p'-Oxybis(benzenesulfonyl  
11 hydrazide), and 1,3,5-triglycidyl-s-triazinetrione. So  
12 those chemicals will be removed from the list.

13           And then there was also a discussion about the  
14 agenda item regarding how to tabulate epidemiologic data  
15 for the hazard identification materials. So we have a  
16 deadline of -- we will be posting this table -- or it's  
17 already posted, but we'll make it clear that we're asking  
18 for public comment on how we organize this data as well as  
19 the data we've organized for today's meeting and for the  
20 animal data.

21           And we'll request that people -- that the  
22 Committee members as well as members of the public submit  
23 comments by December 15th. December 15th.

24           So I think that completes the Committee actions  
25 for the day.

1           CHAIRPERSON GOLD: Thank you.

2           Unless anyone has any further items, I'd like to  
3 thank the staff for their, as always, very thorough review  
4 of the literature, providing us materials even at the last  
5 minute, and also to the Committee for their hard and  
6 thoughtful work. It's obvious that through the  
7 discussions I think we had very important discussions  
8 about considerations that were very helpful in our  
9 deliberations. And obviously, you'd all spent a great  
10 deal of time and effort and thought. And so I want to say  
11 thank you for that. And I think with that, we can  
12 adjourn, and I wish you all a good evening.

13           (Thereupon the Developmental and  
14           Reproductive Toxicant Identification  
15           Committee adjourned at 3:58 p.m.)  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, and Registered  
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the  
6 foregoing California Office of Environmental Health Hazard  
7 Assessment, Developmental and Reproductive Toxicant  
8 Identification Committee was reported in shorthand by me,  
9 James F. Peters, a Certified Shorthand Reporter of the  
10 State of California, and thereafter transcribed under my  
11 direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 6th day of December, 2013.

17  
18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR, RPR  
24 Certified Shorthand Reporter  
25 License No. 10063