

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

CALEPA HEADQUARTERS BUILDING
1001 I STREET
SIERRA HEARING ROOM
SACRAMENTO, CALIFORNIA

THURSDAY, NOVEMBER 1, 2018

10:06 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Thomas M. Mack, M.D., M.P.H., Chairperson

Jason Bush, Ph.D.

Shanaz Dairkee, Ph.D.

David A. Eastmond, Ph.D.

Thomas McDonald, Ph.D., M.P.H.

Michelle La Merrill, Ph.D.

Joseph Landolph, Ph.D.

Peggy Reynolds, Ph.D.

Mariana Stern, Ph.D.

Luoping Zhang, Ph.D.

STAFF:

Dr. Lauren Zeise, Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Jennifer Hsieh, Reproductive and Cancer Hazard
Assessment Branch, Cancer Toxicology and Epidemiology
Section

Dr. Gwendolyn Osborne, Reproductive and Cancer Hazard
Assessment Branch, Cancer Toxicology and Epidemiology
Section

Mr. Julian Leichty, Proposition 65 Implementation Program

Dr. Karin Ricker, Reproductive and Cancer Hazard
Assessment Branch, Cancer Toxicology and Epidemiology
Section

A P P E A R A N C E S C O N T I N U E D

STAFF:

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard Assessment Branch

Dr. Meng Sun, Reproductive and Cancer Hazard Assessment Branch, Cancer Toxicology and Epidemiology Section

Dr. Feng Tsai, Reproductive and Cancer Hazard Assessment Branch, Cancer Toxicology and Epidemiology Section

I N D E X

	PAGE
I Welcome and Opening Remarks	1
II Consideration of Gentian Violet as Known to the State to Cause Cancer:	
- Staff presentation	8
- Committee discussion	25
- Public comments	48
- Committee discussion and decision	48
III Consideration of N-Nitrosohexamethyleneimine as Known to the State to Cause Cancer:	
- Staff presentation	49
- Committee discussion	70
- Public comments	79
- Committee discussion and decision	79
IV Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	79
V Staff Updates	
- Chemical listings	83
- Proposition 65 litigation	86
VI Summary of Committee Actions	90
Adjournment	92
Reporter's Certificate	93

1 P R O C E E D I N G S

2 DIRECTOR ZEISE: So good morning, everyone, and
3 welcome to the Carcinogen Identification Committee
4 meeting. Dr. Mack, our Chairperson, his plane was
5 delayed, so Dr. Eastmond is going to be acting as Chair
6 until he arrives, which should be in 15 or 20 minutes. So
7 we'll get started.

8 We have two main agenda items. First, the
9 consideration of gentian violet, and then the
10 consideration of n-nitrosohexamethyleneimine. So the
11 consideration by the Committee of those chemicals as known
12 to the State the cause cancer.

13 The meeting is being transcribed and webcast, so
14 if everyone could please speak directly into their mics.
15 And then I just want to take a few minutes to announce
16 some logistics. The drinking fountains are -- and the
17 restrooms are out the black door, turn left, walk to the
18 end of hall.

19 In the event of a need to evacuate the room,
20 please leave by the lighted exit doors, and then take the
21 steps down, and out -- walk outside so -- to your right,
22 take the steps down, and walk outside, and across the
23 street. And we'll relocate in the park across the street.

24 So we're going to be taking breaks during the
25 meeting for the court report, typically five minute

1 breaks.

2 And now, we'll introduce the Panel.

3 So I'll go along this direction. Dr. Luoping
4 Zhang from the University of California, Berkeley, School
5 of Public Health. Then Dr. Peggy Reynolds, Cancer
6 Prevention Institute of California, and Stanford
7 University School of medicine. Then our new member, Dr.
8 Mariana Stern, University of Southern California, Keck
9 School of Medicine. Then Dr. Joe Landolph, University of
10 Southern California, retired. And then Dr. David
11 Eastmond, UC Riverside, Molecular Cell and Systems Biology
12 Department. And then Dr. Michelle La Merrill, UC Davis
13 and Lawrence Berkeley National Laboratory. Dr. Thomas
14 McDonald, Clorox Company, Global Stewardship. Dr. Shanaz
15 Dairkee, California Pacific Medical Center. And Dr. Jason
16 Bush, California State University, Fresno, Biology
17 Department. So welcome, Panel.

18 And now I'll introduce the OEHHA staff. Carol
19 Monahan Cummings our Chief Counsel. Martha Sandy, Chief
20 of the Reproductive and Cancer Hazard Assessment Branch.
21 And then making presentations today next to Martha is Meng
22 Sun -- Dr. Meng Sun. Next to her Dr. Karen Ricker. Next
23 to her Dr. Feng Tsai. Then Dr. Jennifer Hsieh. And Dr.
24 Gwendolyn Osborne. So that's our RCHAB staff. I'd also
25 like to introduce Sam Delson, who's our Deputy Director

1 for External Affairs.

2 And now I'll turn to our Proposition 65
3 Implementation Program staff. Esther Barajas-Ochoa in the
4 corner there, and Julian Leichty.

5 So, welcome. So before we get started and I turn
6 over the meeting to Dr. Eastmond, I'd like to swear in our
7 two new members, Dr. Mariana Stern and Dr. Michelle La
8 Merrill. So if you would please stand and come in this
9 direction.

10 A mic.

11 Hello. Is it on?

12 COMMITTEE MEMBER EASTMOND: You're on.

13 DIRECTOR ZEISE: I'm on. Okay. Great.

14 So if you could please raise your right hand and
15 state your name, I --

16 COMMITTEE MEMBERS: I --

17 DIRECTOR ZEISE: -- do solemnly swear --

18 COMMITTEE MEMBERS: -- do solemnly swear --

19 DIRECTOR ZEISE: -- that I will support and
20 defend --

21 COMMITTEE MEMBERS: -- that I will support and
22 defend --

23 DIRECTOR ZEISE: -- the Constitution of the
24 United States --

25 COMMITTEE MEMBERS: The Constitution of the

1 United States --

2 DIRECTOR ZEISE: -- and the constitution of the
3 State of California --

4 COMMITTEE MEMBERS: -- and the Constitution of
5 the State of California --

6 DIRECTOR ZEISE: -- against all enemies foreign
7 and domestic --

8 COMMITTEE MEMBERS: -- against all enemies
9 foreign and domestic --

10 DIRECTOR ZEISE: -- and that I will bear truth
11 faith and allegiance --

12 COMMITTEE MEMBERS: -- and that I will bear true
13 faith and allegiance --

14 DR. ZEISE: -- to the Constitution of the United
15 States --

16 COMMITTEE MEMBERS: -- to the Constitution of the
17 United States --

18 DIRECTOR ZEISE: -- and the Constitution of the
19 State of California --

20 COMMITTEE MEMBERS: -- and the Constitution of
21 the State of California --

22 DIRECTOR ZEISE: --- that I take this obligation
23 freely without any mental reservation --

24 COMMITTEE MEMBERS: -- that I take this
25 obligation freely without mental reservation --

1 DIRECTOR ZEISE: -- or purpose of evasion --

2 COMMITTEE MEMBERS: -- or purpose of evasion --

3 DIRECTOR ZEISE: -- and that I will well and
4 faithfully discharge the duties upon which I am about to
5 enter --

6 COMMITTEE MEMBERS: Maybe do it again.

7 (Laughter.)

8 DIRECTOR ZEISE: Okay. That I will well and
9 faithfully discharge the duties upon which I am about to
10 enter.

11 COMMITTEE MEMBERS: That I will well and
12 faithfully discharge the duties upon which I am about to
13 enter.

14 DIRECTOR ZEISE: So welcome to the Panel.

15 (Applause.)

16 DIRECTOR ZEISE: Okay. Now, before we get into
17 the meat of the meeting, Carol is going to make some
18 introductory comments.

19 CHIEF COUNSEL MONAHAN CUMMINGS: Good morning.
20 Most of you have heard these before, some of them not.
21 But I try to remind the Committee of a number of things at
22 each meeting, since you only meet once a year. First, I
23 would like to remind you that the listing criteria that's
24 been adopted by this Committee is in your binders under
25 criteria, I believe.

1 That criteria was adopted by the Committee to
2 help you make decisions about potential listing of
3 chemicals. Your decision should be based on that
4 criteria, not on consideration of the future impact of a
5 listing, such as whether or not warnings would be required
6 for a particular exposure.

7 Your charge is to determine whether the chemicals
8 that are being presented are clearly shown through
9 scientifically valid testing, according to generally
10 accepted principles to cause cancer. The standard is a
11 scientific judgment call. It's not a legal standard of
12 proof.

13 Your Committee can decide to list a chemical
14 based on -- only on animal evidence. The chemical need
15 not have been shown to be a human carcinogen, and whether
16 or not there are human exposures to the chemical, or
17 whether or not current human exposures to the chemical are
18 sufficiently high enough to cause cancer.

19 The members of this Committee were appointed by
20 the Governor because of your scientific expertise and are
21 considered the State's qualified experts on
22 carcinogenicity of chemicals. There's no need to feel
23 compelled to go outside that charge.

24 In the event you feel you have insufficient
25 information or need more time to think or discuss the

1 issues in front of the Committee, there's no requirement
2 that you make a decision today. You could defer your
3 decision to another meeting and give staff suggestions on
4 the information you feel like you need, and we're happy to
5 get that information if it's available and present it at a
6 future meeting.

7 Feel free also to ask clarifying questions of me
8 or the other OEHHA staff during the meeting. If we don't
9 know the answer to your question, we'll do our best to
10 find it and report it back to you.

11 Any questions this morning?

12 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Thank
13 you.

14 DIRECTOR ZEISE: Thank you, Carol. And now I'll
15 turn the meeting over to Dr. Eastmond.

16 COMMITTEE MEMBER EASTMOND: Well, thank you. As
17 Lauren mentioned I'll be filling for Tom Mack until he
18 arrives, hopefully shortly. And I'd just like personally
19 to express my welcome to our new Committee members. Glad
20 to have you involved, and hopefully it will be an
21 interesting and valuable experience for all us.

22 It's my understanding that we do not have any
23 public comments. Do we have any at this point?

24 Just, if there are people in the public who would
25 like to make comments, per our usual sort of model, each

1 speaker in the public has five minutes to speak. And
2 there are blue cards available in the back table. If
3 you'd like to make public comments, please fill one out
4 and give them to either Esther or Julian.

5 But, at this point, I don't think we have any.

6 The -- as typical, we will have staff
7 presentations on each of the chemicals, and then -- so
8 we'll start with gentian violet, I believe. And Dr.
9 Martha Sandy will introduce the OEHHA staff and the
10 chemical.

11 DR. SANDY: Thank you, Dr. Eastmond.

12 (Thereupon an overhead presentation was
13 Presented as follows.)

14 DR. SANDY: So gentian violet was brought to your
15 Committee back in 2010 for prioritization. And so that's
16 the origin of how it's coming to you now. It was selected
17 for development of this document before you, and for your
18 consideration today. And we're going to be hearing from a
19 few of the authors of the document. We'll lead off
20 with -- it will be Dr. Meng Sun and Dr. Ricker that will
21 be presenting on this.

22 Thank you.

23 DR. RICKER: Good morning, everyone. We are here
24 today to present a summary of the evidence on the
25 carcinogenicity of gentian violet.

1 --o0o--

2 DR. RICKER: Here is a brief overview of today's
3 presentation. We will start with background information,
4 including identity of gentian violet, use and exposure,
5 then reviews by other agencies. Next, we will talk about
6 studies in humans, followed by a summary of the findings
7 from animal cancer bioassays.

8 Lastly, we will present mechanistic and other
9 relevant data.

10 --o0o--

11 DR. RICKER: Gentian violet shown here on the
12 right is also known as crystal violet and refers to
13 hexamethylpararosaniline chloride, a cationic
14 triphenylmethane dye derived from aniline.

15 Gentian violet produces a vibrant purple color,
16 and has longstanding use as a biological and histological
17 dye. It is a key stain in the Gram method for
18 categorizing bacteria, and is also used as a nuclear stain
19 for eukaryotic cells. Commercial uses of gentian violet
20 include the coloration of paper, textiles, and elastic
21 fibers, and the production of inks and toners.

22 Gentian violet is known to have antimicro --
23 antimicrobial properties. In the U.S., gentian violet is
24 available as an antibacterial foam, and as one to two
25 percent solutions intended for topical first aid uses.

1 In the context of breast feeding, recommendations
2 for the use of gentian violet to treat infant oral thrush
3 and thrush of the nipple can be found on many websites,
4 including those of medical practitioners.

5 Other uses of gentian violet discussed on the
6 internet include its use in making do-it-yourself purple
7 hair dyes.

8 Gentian violet is not permitted in animal feed,
9 including fish feed, nor is it permitted as a veterinary
10 drug in food animals in the U.S. The U.S. Food and Drug
11 Administration regularly monitors domestic and imported
12 seafood for gentian violet residues, and over the years
13 has issued several import alerts for seafood containing
14 gentian violet residues from a number of countries.

15 --o0o--

16 DR. RICKER: Gentian violet has been of interest
17 to several regulatory agencies. FDA considers gentian
18 violet, "a suspected carcinogen, a probable mutagen, and a
19 potent clastogen". NTP referred to gentian violet as a
20 carcinogenic dye in its report on two structurally related
21 compounds.

22 The Joint FAO/WHO Expert Committee on Food
23 Additives has concluded that it is inappropriate to set an
24 acceptable daily intake for gentian violet, because it is
25 genotoxic and carcinogenic.

1 The Australian Pesticides and Veterinary
2 Medicines authority found that gentian violet demonstrated
3 carcinogenic/tumorigenic effects in mice, and that it is a
4 mutagen and clastogen, and canceled the registrations and
5 approvals of products containing gentian violet.

6 With that, I'm handing the presentation over to
7 Dr. Sun.

8 --o0o--

9 DR. SUN: Available evidence for the
10 carcinogenicity of gentian violet in humans is sparse. We
11 identified a hospital-based retrospective study conducted
12 in Brazil in 1989. 4,765 patients were interviewed and
13 asked if they recalled ever receiving gentian
14 violet-treated blood. Of the 37 patients who answered
15 yes, 26 had either benign or malignant neoplastic lesions.

16 There are several limitations to this study,
17 including lack of information on the specific site or type
18 of cancer observed, lack of information on any comparison
19 groups, selection bias, because the patients were from a
20 hospital that was affiliated with combating cancer and
21 confounding factors, such as higher iron levels
22 immunosuppression that may occur in recipients of blood
23 transfusions.

24 --o0o--

25 DR. SUN: Now, we will turn to the available

1 evidence in animals. There are four animal cancer
2 bioassays of gentian violet, one each in male rats,
3 female rats, male mice, and female mice. These were all
4 feed studies. In the male and female rat studies,
5 exposures began in utero and continued during lactation
6 via dosing of the dams, and then continued with direct
7 dosing of the pups after weaning through 24 months. The
8 studies in rats included 12- and 18-month interim
9 sacrifices.

10 In the male and female mouse studies, exposures
11 began post-weaning at four to five weeks of age for up to
12 24 months. These mouse studies also included 12- and
13 18-month interim sacrifices.

14 --o0o--

15 DR. SUN: Here are the tumor findings in male
16 F344 rats. Tumor were seen in multiple sites in male rats
17 exposed in utero, during lactation, and via feed
18 post-weaning for up to 24 months. No tumors were observed
19 in any site in the animals sacrificed at 12 months. The
20 table shows tumors observed at the 18-month interim
21 sacrifice and in the animals on test for up to 24 months.

22 A significant increase in hepatocellular adenoma
23 was observed in the highest dose group by pairwise
24 comparison with controls with a significant dose-related
25 trend. Thyroid gland follicular cell adenocarcinomas were

1 observed in the low- and high-dose groups with a
2 dose-related trend. Follicular cell adenomas and
3 adenocarcinomas combined were increased in the high-dose
4 group with a dose-related trend. The incidences of
5 mesotheliomas of testis and epididymis which were reported
6 only as percentages were increased in the mid- and
7 high-dose groups in both the 18-month sacrifice groups and
8 the animals on test for up to 24 months.

9 I'll just continue.

10 --o0o--

11 DR. SUN: The female rat study had the same study
12 design and exposure regimen as the male rat study. No
13 tumors were observed at any site in the animals sacrificed
14 at 12 months. Data are presented from the 18-month
15 interim sacrifices and from animals exposed for up to 24
16 months.

17 Increases in thyroid gland follicular cell
18 adenoma[SIC], and adenoma or adenocarcinoma combined were
19 observed in the mid- and high-dose groups with
20 dose-related trends. These tumors are rare in untreated
21 female F344 rats.

22 In the 18-month interim sacrifice groups, the
23 incidence of mononuclear cell leukemia was significantly
24 increased in the highest dose group, with a dose-related
25 trend. Although no treatment-related increase in this

1 leukemia was apparent in animals exposed for up to 24
2 months, it appears that gentian violet reduced the latency
3 of the leukemia. NCTR concluded that dosing with gentian
4 violet was significantly associated with an earlier onset
5 and increased mortality due to leukemia.

6 The incidences of clitoral gland adenoma or
7 adenocarcinoma combined, which were reported only as
8 percentages, were increased in the mid- and high-dose
9 groups.

10 --o0o--

11 DR. SUN: This slide summarizes tumor findings in
12 the male mouse study. Animals were exposed at four to
13 five weeks of age for up to 24 months. Data presented
14 from the 12- and 18-month sacrifices as well as from
15 animals treated for up to 24 months.

16 Increases in hepatocellular adenomas were
17 observed in the mid- and high-dose groups with a
18 dose-related trend. Hepatocellular carcinomas were
19 observed in the high-dose group with a dose-related trend.
20 The reporting of the data by NCTR did not allow us to
21 determine the combined incidence of hepatocellular
22 adenomas and carcinomas.

23 Increases in Harderian gland adenomas were
24 observed in the mid- and high-dose groups with a
25 dose-related trend.

1 --o0o--

2 DR. SUN: This is the first of two slides
3 summarizing results from the female mouse study. Animals
4 were exposed at four to five weeks of age for up to 24
5 months. Data are presented from the 12- and 18-month
6 interim sacrifices and from the animals treated for up to
7 24 months.

8 Increases in hepatocellular adenoma and carcinoma
9 were both observed in the mid- and high-dose groups with
10 significant trends. The reporting did not allow us to
11 determine the combined incidence. An increase of
12 hepatocellular adenomas was also seen in the high-dose
13 group with a dose-related trend at the 18-month interim
14 sacrifice.

15 Increases in Harderian gland adenomas were
16 observed in all three treated groups with a dose-related
17 trend.

18 --o0o--

19 DR. SUN: Also, in the female mouse study,
20 significant increases in type A reticulum cell sarcomas
21 were observed in the mid- and high-dose groups by pairwise
22 comparisons, with a significant trend, in each of the
23 following tissues: Bladder, ovaries, uterus, and vagina.

24 Type A reticulum cell sarcoma is an older term
25 that is no longer used by tumor pathologists. The current

1 classification for this tumor type is likely to be
2 histiocytic sarcoma. We note that this is different from
3 what was proposed in the HID. Now, I will hand it over to
4 Dr. Ricker.

5 --o0o--

6 DR. RICKER: Thank you, Dr. Sun. We are moving
7 on to other relevant data, beginning with pharmacokinetics
8 and metabolism.

9 No in vivo human metabolism studies of gentian
10 violet were identified. However, there are in vitro
11 studies of gentian violet metabolism conducted with human
12 intestinal microflora, as noted here.

13 With regard to animal studies, the
14 pharmacokinetics and metabolism of gentian violet has been
15 studied in several species in vivo, and in liver
16 microsomal systems isolated from several species. In
17 vitro studies of gentian violet metabolism have also been
18 conducted with intestinal microflora isolated from rats
19 and chickens.

20 Other metabolism studies include those with
21 various fungi and bacteria, and studies in cell-free
22 systems.

23 --o0o--

24 DR. RICKER: Absorption studies of gentian violet
25 in mammals are limited. They indicate rapid but

1 incomplete absorption by the oral route. In rats,
2 absorption within two hours can be indirectly estimated to
3 be less than 10 percent based on measures from urinary and
4 biliary excretion experiments.

5 In rats and mice, gentian violet was rapidly
6 distributed throughout the body with the highest levels
7 occurring in kidney and liver. Gentian violet and
8 metabolites accumulated in adipose tissue and reached a
9 plateau at 24 hours; and fatty tissue also contained the
10 highest concentration of reduced metabolites.

11 Bile duct cannulation studies conducted in female
12 rats reported that 5.7 to 6.4 percent of the administered
13 dose of gentian violet was excreted in the bile within 28
14 hours. Gentian violet is excreted primarily in the feces
15 with some excretion also via urine.

16 --o0o--

17 DR. RICKER: I will now walk you through the
18 proposed metabolism of gentian violet based on information
19 from in vivo and in vitro studies, as well as observations
20 from cell-free experiments and biodegradation studies.
21 Chemical names shown on this slide in bold indicate that a
22 metabolite has been detected in mammalian systems.

23 Let's start with oxidative metabolism. During
24 oxidative metabolism, gentian violet undergoes
25 N-demethylation, i.e. the stepwise removal of methyl

1 groups from the parent molecule. The stepwise removal
2 leads to the formation of penta-, tetra-, tri-, and
3 dimethyl pararosaniline as shown here.

4 Each removal of a methyl group also leads to the
5 formation of formaldehyde, a known carcinogen, shown here
6 in red. Complete demethylation of gentian violet can
7 yield a carcinogen pararosaniline, which is also known as
8 C.I. Basic Red 9.

9 Pentamethyl-pararosaniline and two isomers of
10 tetra-methyl-pararosaniline have been detected in
11 mammalian systems. Further demethylation products of
12 gentian violet have not been assessed in mammalian
13 systems. However, the complete demethylation product C.I.
14 Basic Red 9 has been detected in microbial metabolism
15 studies.

16 The oxidation pathway may also involve the
17 formation of a nitrogen-centered free radical, which has
18 been detected in cell-free systems using horseradish
19 peroxidase. This part of the figure in the HID was
20 presented with an error, but the correct figure is showing
21 here on this slide.

22 We are now moving to reductive metabolism. When
23 gentian violet is metabolized under anaerobic conditions,
24 it forms leucogentian violet possibly via the formation of
25 a carbon-centered free radical. Formation of this

1 mouse lymphocytes; chromosomal aberrations and chromosome
2 breakage in various human and mammalian cells; binding to
3 chromosomes in human cells, binding to bacterial,
4 bacteriophage, and isolated calf thymus DNA, and binding
5 to synthetic polynucleotides, and; gene amplification in
6 the SV-40 transformed hamster cell line.

7 --o0o--

8 DR. SUN: Several gentian violet metabolites have
9 also tested positive in genotoxicity assays.

10 Pentamethyl-pararosaniline chloride is mutagenic
11 in bacteria and bacteriophage, and binds to calf thymus
12 DNA. Leucogentian violet and leuco-pentamethyl-
13 pararosaniline are mutagenic in salmonella. The two
14 tetramethylpararosaniline isomers are mutagenic in
15 salmonella and E. coli.

16 Formaldehyde C.I. Basic Red 9 as Michler's ketone
17 are all genotoxic carcinogens. As Dr. Ricker mentioned,
18 C.I. Basic Red 9, and Michler's ketone are microbial
19 metabolites of gentian violet, and may be produced by
20 intestinal microflora.

21 --o0o--

22 DR. SUN: We compared the genotoxicity and
23 carcinogenicity of gentian violet to seven structurally
24 related chemicals. Six of these comparison chemicals
25 are -- have a triphenylmethane core, while the 7th,

1 Michler's ketone, carries a diphenylmethane structure.

2 Michler's ketone was included because it is a
3 microbial metabolite and can be a precursor of gentian
4 violet synthesis.

5 --o0o--

6 DR. SUN: This table compares the findings from
7 genotoxicity in animal cancer studies for gentian violet,
8 and the seven structurally-related chemicals. You can see
9 that in the three columns under the genotoxicity heading,
10 all seven comparison chemicals were tested for
11 mutagenicity, and all except methyl green were mutagenic.

12 Three comparison chemicals were tested for
13 effects on chromosomes and were positive, and all seven
14 comparison chemicals were tested for DNA damage or DNA
15 binding, and all except methyl green were positive.

16 The next column shows that for each of the
17 comparison chemicals that have been adequately tested in
18 animal cancer bioassays, increases in tumors have been
19 observed. The last column identifies the tumor types or
20 sites that were increased. Common tumor sites observed
21 with gentian violet and one or more of the four comparison
22 chemicals with adequate studies include:

23 Hepatocellular tumors observed with C.I. Basic
24 Red 9, leucomalachite green, and Michler's ketone; thyroid
25 follicular cell tumors observed with C.I. Basic Red 9; and

1 Harderian gland tumors also observed with C.I. Basic Red
2 9.

3 --o0o--

4 DR. SUN: We also reviewed the ToxCast
5 high-throughput screening data for gentian violet. It was
6 active in 273 assays out of 794 tested assays. These 273
7 assays covered 17 different biological processes or
8 intended target families.

9 We then used IARC's mapping table that maps
10 ToxCast assays to the key characteristics of carcinogens,
11 and found that 72 of the assays that gentian violet is
12 active in, map to five of the 10 key characteristics.

13 These five key characteristics are shown here in
14 the chart. Each bar indicates the number of assays
15 gentian violet was tested for for that particular key
16 characteristic, and the filled portion of the bar
17 indicates the number of active assays. For example, the
18 bar on the far left shows that gentian violet was tested
19 in nine assays that mapped to the key characteristic 'is
20 genotoxic', and it was active in seven.

21 The bar on the far right indicates that gentian
22 violet was active in 39 out of 69 assays mapped to the key
23 characteristic 'alters cell proliferation, cell death, or
24 nutrient supply'.

25 --o0o--

1 DR. SUN: We organized the proposed mechanisms of
2 action of gentian violet according to the IARC's key
3 characteristics of carcinogens shown in the left column
4 here. The characteristics highlighted in yellow are the
5 ones that gentian violet has evidence for. They are:

6 Number one, 'is electrophilic or can be
7 metabolically activated', and number two 'is genotoxic'.
8 These have been discussed earlier. In addition, gentian
9 violet tested positive in several ToxCast assays mapped to
10 genotoxicity.

11 Number Five, 'induces oxidative stress'. Gentian
12 violet has been shown to generate reactive oxygen species
13 in cell-free systems in the presence of visible light, and
14 in horseradish peroxidase-catalyzed reactions. Findings
15 from several ToxCast assays also support induction of
16 oxidative stress and activation of cellular antioxidant
17 response.

18 And number eight, 'modulates receptor-mediated
19 effects'. Gentian violet was active in 18 ToxCast assays
20 mapped to this key characteristic, including assays
21 showing activation of the androgen receptor, the estrogen
22 receptor alpha, and the thyroid hormone receptor beta.

23 --o0o--

24 DR. SUN: Here is a recap of the tumor findings
25 in animals for gentian violet. Tumors were observed in

1 two studies in rats and two studies in mice, including
2 statistically significant increases in:

3 Hepatocellular tumors in male rats and male and
4 female mice; thyroid follicular tumors in male and female
5 rats; earlier onset of mononuclear cell leukemia in female
6 rats seen at 18-month interim sacrifice; Harderian gland
7 tumors in male and female mice; type A reticulum cell
8 sarcomas, which is now likely histiocytic sarcomas in the
9 bladder, ovaries, uterus, and vagina in female mice; also
10 increases in mesotheliomas of the testis and epididymis in
11 male rats; and clitoral gland tumors in female rats.

12 --o0o--

13 DR. SUN: In addition to the animal tumor
14 findings, we presented the following other relevant data.

15 During metabolism, carbon- and nitrogen-centered
16 free radicals can be formed. Carcinogenic metabolites
17 include formaldehyde, C.I. Basic Red 9, and Michler's
18 ketone. A number of other genotoxic metabolites can also
19 be formed.

20 Gentian violet may act via multiple mechanisms.
21 It is a direct-acting electrophile that reacts with DNA
22 and other nucleophiles. Some metabolites are also
23 electrophilic. It is genotoxic. There is evidence
24 suggesting that gentian violet induces oxidative stress.
25 And ToxCast data indicates that gentian violet modulates

1 receptor-mediated effects.

2 Finally, gentian violet shares structural
3 similarities with seven chemicals. Six of these
4 comparison chemicals also test positive for genotoxicity.
5 Two chemicals C.I. Basic Red 9 and Michler's ketone are
6 carcinogens on the Proposition 65 list. Three comparison
7 chemicals also induce liver tumors, and one also induces
8 thyroid and Harderian gland tumors.

9 This concludes our presentation today. Thank
10 you.

11 COMMITTEE MEMBER EASTMOND: I was going to say
12 welcome back. And Tom is here so he's going to take over.

13 CHAIRPERSON MACK: Oh, you're going to do it
14 again in a minute.

15 Thank you, Dr. Sun. Thank you, Dr. Ricker. I
16 was pleased to see that you used Martin's list of
17 potential predictors. I'm not sure that they're all that
18 predictive always, but it's -- it's -- I think it's a good
19 addition.

20 So, David.

21 COMMITTEE MEMBER EASTMOND: Well, I think, first,
22 did you want to ask do we have general questions for
23 the -- on the presentation and then we'll turn it over.

24 CHAIRPERSON MACK: Are there any questions,
25 please? Does anybody have any questions.

1 BOARD MEMBER EASTMOND: I have a couple.

2 COMMITTEE MEMBER McDONALD: Yeah, Dr. Ricker, I
3 was wondering if you would talk a little bit about the
4 absorption comparing the rat versus the mouse, at least
5 the NCT -- NCTR studies suggested that the mice had a much
6 greater absorption than the rat, is that your reading of
7 the information?

8 DR. RICKER: I would have to double check on the
9 paper, but it might be that mice had higher, but it wasn't
10 exceptionally higher. It could have been. Still they are
11 both below 10 percent, I think. I think female mice may
12 have -- may have had higher absorption.

13 COMMITTEE MEMBER McDONALD: Thank you.

14 DR. RICKER: But overall, it indicates that
15 absorption is poor and that a large part of the ingested
16 dye remains in the stomach and intestine.

17 COMMITTEE MEMBER EASTMOND: I have a question.
18 There was quite a bit of toxicity seen in the bioassays,
19 certainly in the rat, maybe the mice. And there was one
20 case in the males, I guess there was some reduced body
21 weight gain. Were there discussions among you about the
22 potential significance of those changes?

23 DR. RICKER: I'm not sure. Would you mind --

24 COMMITTEE MEMBER EASTMOND: I mean, I -- well, I
25 can bring it up when I make my comments.

1 DR. RICKER: Martha can -- yeah.

2 COMMITTEE MEMBER EASTMOND: I can do it. Just
3 that typically -- I mean, there was really substantial
4 toxicity seen in that 24-month study with the rats, and
5 possibly with the mice. And so when you see that, you
6 start looking at, you know, is how do you evaluate these
7 results? On one hand, not enough animals survived to the
8 end of the test, so you would say that assay may not be as
9 sensitive.

10 On the other hand, the animals are under
11 considerable physiological stress, because a significant
12 number of them are dying early. And so you know that
13 raises questions about sort of the dosing and
14 acceptability of the dosing. I mean, I've -- I've come to
15 my resolution on that, and I'll comment later. But I
16 didn't know if that had been a discussion that had come up
17 with in your group.

18 DR. SUN: In the male -- in the male rat study,
19 the mortality was increased after week 95, which is later
20 in the study. In the female rat study, the mortality was
21 seen after year one. And NCTR attributed the mortality to
22 the mononuclear cell leukemia.

23 COMMITTEE MEMBER EASTMOND: That's the key point.

24 DR. SANDY: And I'll also add that loss of body
25 weight, I believe that was in -- I remember -- I don't

1 remember which study that was. But typically, if animals
2 are -- there's a treatment-related decrement in body
3 weight, that is often associated with a lower rate of --
4 in the controls or of spontaneous -- you know, of tumors.
5 So we can look at that. We tried to discuss it.

6 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

7 COMMITTEE MEMBER DAIRKEE: Any thoughts on how
8 the absorption might be different from the food intake in
9 the animals studies as opposed to more of a dermal contact
10 in human and -- a human situation?

11 DR. RICKER: There were no studies talk -- you
12 know, addressing that. Generally, it's believed that
13 gentian violet may be more easily absorbed compared to
14 similar dyes, just because it's a smaller molecule and
15 appears to be -- have more neutral charges. But we didn't
16 identify any dermal studies.

17 COMMITTEE MEMBER DAIRKEE: Thank you.

18 CHAIRPERSON MACK: Go ahead David. Did you --

19 DR. SANDY: Excuse me, Dr. Mack, there may be
20 another question?

21 CHAIRPERSON MACK: Wait a minute.

22 COMMITTEE MEMBER STERN: No. My question was
23 exactly the same. My question was the dermal absorption,
24 if -- there wasn't any mention in the literature, but I
25 was wondering if you had any insights on that, but you

1 already answered that. But there's no data right to
2 support what gets absorbed?

3 DR. RICKER: Well, the only -- the only study
4 that might address -- it's not a study. It was a review
5 paper that talked about application of gentian violet as a
6 wound dressing. And generally, it's believed it's not
7 absorbed.

8 COMMITTEE MEMBER STERN: Not absorbed.

9 DR. RICKER: Yeah, it seems -- I've forgotten
10 the -- I think -- I think -- yeah, I don't -- it wasn't
11 very -- you know, it was sort of just a comment in a
12 review paper of 2016. But it's generally believed to not
13 be released from the wound dressing, and that may be
14 related to how the wound dressing is constructed.

15 DR. SANDY: But we don't have data. It's just --

16 DR. RICKER: Yeah, we don't --

17 DR. SANDY: There are no studies.

18 DR. RICKER: Yeah. There's no data to support
19 either way.

20 COMMITTEE MEMBER EASTMOND: I might mention it is
21 a cation. And it's a fairly large molecule, so you would
22 not generally expect much dermal absorption, because it
23 has a charge on it.

24 DR. SANDY: If I could, I'll just add though that
25 it is used -- you know, it's for staining bacteria. The

1 Gram method -- so it does get into cells.

2 CHAIRPERSON MACK: Joe.

3 COMMITTEE MEMBER LANDOLPH: I think it was a
4 great presentation. The HID document was very clear to
5 me. Well written.

6 I just had one question. Was -- is the gentian
7 violet is equal to crystal violet, is that what I heard
8 Dr. Ricker say, is that a correct statement?

9 DR. RICKER: Yes, it's synonymously used in the
10 literature. And sometimes we find other -- others call it
11 methyl violet. But crystal violet and gentian violet
12 are --

13 COMMITTEE MEMBER LANDOLPH: Are the same
14 molecule.

15 DR. RICKER: Yes.

16 COMMITTEE MEMBER LANDOLPH: Yeah, I request if
17 you could just state that very simply in the executive
18 summary and somewhere in the introduction, because I had
19 to hunt for that. It wasn't stated so clearly in the HID.
20 If you could do that I'd appreciate it.

21 Thank you.

22 DR. RICKER: We'll do that. Thank you.

23 CHAIRPERSON MACK: All right. David.

24 COMMITTEE MEMBER EASTMOND: All right. Thank
25 you. I would also like to express my appreciation to the

1 OEHHA staff for the -- summarizing things so nicely in the
2 document, and in the presentation.

3 I -- this appears to be a pretty straightforward
4 compound in many respects. As I looked at this, there are
5 clear dose-related increases in thyroid follicular cell
6 adenocarcinomas. They were seen in both male and female
7 rats in the 24-month study. These increases were
8 significant by a trend test as well as pairwise
9 comparisons. And there was significant increase seen in
10 sort of combined basically thyroid follicular cell
11 adenomas and adenocarcinomas seen in both the males and
12 female rats. So that's one where I think there's a strong
13 response seen in both males and females.

14 There's also a significant dose-related increase
15 in hepatocellular adenomas seen in the male rats. And
16 modest increases were all seen at the two highest doses in
17 the females. So there appear to be substantial evidence
18 for carcinogenicity in my mind. And those were the two
19 tumor types in the rat I put the most emphasis on.

20 I saw that there had been pretty high mortality.
21 And that starts raising concerns, because as I indicated,
22 you get trade-offs. If there's too many animals die early
23 on the study, the study is not very powerful because they
24 don't last long -- the animals don't live long enough to
25 see the tumors.

1 On the other hand, animals that die early in that
2 treatment-related fashion tend to be under a tremendous
3 sort of physiological stress. And so then you would argue
4 well this may have exceeded what would be considered sort
5 of a maximum tolerated dose.

6 The key point on this, and I spent some time in
7 chasing it down, is that most of the animals that died
8 earlier died because of mononuclear cell leukemias. And
9 so the other deaths were apparently, as described, spread
10 across the other treatment concentrations and tissues. So
11 there wasn't any obvious pattern there. So that kind of
12 alleviated my concern on that particular concern about
13 maximum tolerated dose and toxicity, at least in that.

14 In the mice, again, you've got clear dose-related
15 increases in tumors seen in male mice, hepatocellular
16 adenomas and carcinomas in female mice, adenomas
17 carcinomas, and then Harderian tumors plus these
18 histiocytic sarcomas or reticulum cell sarcomas in four
19 separate issues.

20 So again, there's strong evidence in the mice.
21 Again, the same issue came up with toxicity, and a lot of
22 the toxicity was apparently due to liver cancers or
23 responsible it said for 50 percent of the tumors of the
24 high -- 50 percent of the deaths at the high dose were due
25 to liver tumors. So that alleviated some of my concern

1 about the doses there, at least as toxicity occurring.

2 Coming on to the genotoxicity was kind of
3 intriguing for me. Now, for those of you that didn't look
4 at this, a lot of these studies were done many years ago,
5 so they're quite old. And so I went to a few of them that
6 seemed to be newer studies that I had sort of more
7 confidence in, and looked at them, or chased down a couple
8 of the old ones that I thought were important.

9 So gentian violet is, what I would consider,
10 weakly mutagenic in the Ames test. It is significant, but
11 it's not a strong positive. Increases tend to be between
12 sort of 2- and 2.5-fold, but there's a dose-related trend
13 and it's high enough that you'd call it positive.

14 The -- it was clearly clastogenic, so it caused
15 chromosomal breakage in vitro in mammalian cells, at
16 higher concentrations. The intriguing thing -- in fact,
17 this was -- it must have been William Hou's dissertation I
18 would bet. He did about 10 different cell lines -- was
19 that when they added S9, which is used as a -- for
20 metabolic activation, the clastogenicity went away. And
21 they didn't need the co-factors either.

22 So it suggests to me that it's actually binding
23 to the protein, which suggests -- so that's in vitro where
24 you're seeing these sort of positive things. In vivo,
25 they didn't see any evidence in certain bone marrow tests

1 for chromosomal damage. In vivo, there were a couple of
2 studies done. And that may be as follow-up study for the
3 in vitro cited genetic studies, but it doesn't really
4 address the mutations that we're seeing.

5 So, I mean, I think there's certainly evidence
6 that it's genotoxic -- a genotoxic compound, which is
7 consistent with sort of the onset of tumors, and one of
8 the mechanisms which is associated with carcinogenesis.

9 And then you can see -- with similar type
10 structurally-related compounds, you can see generally
11 somewhat similar genotoxic and carcinogenic profiles.

12 So as sort of bottom line on this is that I think
13 this is clearly carcinogenic, and something that, I guess,
14 we'll talk -- would -- that would be listed under
15 Proposition 65.

16 Tom, do you want to follow up?

17 COMMITTEE MEMBER McDONALD: Yes, as the second
18 discussant. I would also like to thank OEHHA staff.

19 Get closer. Is that better?

20 Great.

21 I would also like to thank OEHHA staff. I
22 thought the compilation of the carcinogenic evidence was
23 very good about gentian violet, which I may refer to as
24 GV.

25 (Laughter.)

1 COMMITTEE MEMBER McDONALD: I particularly liked
2 the comparison of the structurally-related compounds and
3 metabolites. I thought that was well done. And I also
4 really found it helpful the discussion around the tumor
5 biology providing context, putting the historical control
6 data right there, so it was easy for review.

7 Just one thing for the future that I think would
8 be helpful. I know that you had cited JECFA's review as
9 part of your genetox section, but if you could provide at
10 least the citations as part of the full compilation of the
11 original papers, if you're not going to cite them
12 yourselves, it would just helpful. I had to go look them
13 up and just facilitate review.

14 You know, gentian violet is clearly genotoxic in
15 vitro. One issue that I went back and forth in my mind
16 was -- that wasn't discussed in great detail was this
17 issue of cytotoxicity. Gentian violet is cytotoxic, and
18 very much so in some cell systems. You see this
19 clinically with ulcerations in children's mouths, the
20 hemorrhaging and necrosis in the liver of the treated
21 mice.

22 And in vitro systems, especially in the in
23 vitro -- the genetox studies you see that, I think, as you
24 described, Dr. Eastmond, where you have this pull and push
25 between viability and mutagenicity.

1 It's also -- this compound is really a potent
2 mitochondrial toxicant. It's -- there's some recent
3 papers, which shows that it inhibits mitochondria, which
4 is going to lead to apoptosis, cell death, and then of
5 course the compensatory inflammation oxidative stress and
6 so forth.

7 And there's been a lot of recent publications
8 that in human fibroblasts and in breast cancer cells that
9 you have reduced viability down in the nanomolar range.
10 So it's really quite a potent cytotoxin.

11 Just out of interest, there's been sort of a
12 resurgence of this compound as a therapy. I saw that
13 because of these mitochondrial toxicity features, that
14 clinicians are now looking at it as a -- as an
15 antineoplastic agent, treating a number of things. But
16 that -- so anyway, it really doesn't feed into the hazard
17 ID, so much. It's more mechanism and dose response, but
18 it was really interesting to try to tease out what's going
19 on with respect to DNA damage versus cytotoxicity and
20 compensatory proliferation.

21 So I think you nicely stated the problematic
22 human data, and the early animal cancer studies. There
23 were actually two, one in the 1930s and one in the 1940s.
24 Very limited reporting there. But I think it's
25 interesting to note that at least the original author call

1 was not inconsistent with the later studies. So I think
2 that, you know, at least should be stated.

3 The later animal cancer studies, the lifetime
4 studies in the rats and the 24-month in the mice. One
5 thing I want to say about the rat study, you know, when
6 you -- when you treat starting 80 days prior to mating all
7 the way through gestation, lactation, dose, the pups as
8 well, all the way through life, you get a much greater
9 spike of dose in early life, almost two- to three-fold
10 higher dose in those early life. And with a cytotoxic
11 compound you kind of wonder well, does that -- does that
12 really play into what you're seeing. But again, like I
13 think Dr. Sandy noted, that the body weight again and food
14 consumption in these studies were not appreciably
15 different from controls. So I'm not really worried about
16 enough of the dose getting in systemically to create --
17 create an issue, so that there was less than 10 percent
18 there.

19 Significant increase in thyroid tumors. And I
20 wanted to say one thing about the mononuclear cell
21 leukemias. They were not statistically -- they weren't
22 statistically significant at end of study, but they were
23 at 18 months. And NCTR had done a really nice statistical
24 analysis where they had shown that there was a strong
25 statistical association with onset of leukemia and dose,

1 as well as mortality by leukemia and dose. So I think
2 that was an important add to make.

3 So the -- there was a much greater response in
4 the mouse. And I think that may be due to the greater
5 absorption of gentian violet. NCTR suggested about a
6 three- to four-fold greater uptake, so that may be part of
7 it, or it may just be susceptibility. Again, there was
8 very little progression seen of the lesions, nothing seen
9 at 12 months, some at 18 months, but all end-of-life
10 observations.

11 In the mice, there was -- if you looked at the
12 clinical chemistry data, all of the liver enzymes were
13 significantly up, suggesting stress to the liver, again,
14 is this cytotoxicity, is it DNA damage, is it both? But
15 clearly, you've got these mechanisms going on in the liver
16 as indicated by the clinical chemistry data.

17 I think -- I did want to make some points. I
18 think we covered -- on genetox, I did want to make some
19 points. This clearly binds to DNA. It's clearly
20 clastogenic. With respect to the Ames test, yeah, I felt
21 the same way as Dr. Eastmond when I look at this. You can
22 see the cytotoxicity where it's barely a doubling before
23 you get loss of viability, and so...

24 And then looking at the -- there's lots of
25 evidence of DNA damage in vitro, but minimal in vivo.

1 There were actually three studies and none of them showed
2 a response. I just want to make one point about those
3 studies. There was a chick embryo study, high toxicity,
4 no sister chromatid exchanges. There was a four-week
5 drinking water study up to 8 mg per kg of gentian violet
6 in the drinking water with no chromosomal damage. And
7 then there was mouse lymphocytes looking at DNA damage.
8 But that was a tail vein injection up to 6 mg per kg.

9 Now JECFA had suggested that the doses are much
10 lower than what was done in the cancer studies, so we can
11 discount those in vivo studies. But, you know, if there
12 really is a low absorption rate, maybe this tail vein
13 injection being an IV directly into the systemic blood.
14 Maybe that's more relevant to the cancer.

15 So I just wanted to point that out as something
16 of interest. I really would have liked to have seen
17 somebody do a proper in vivo genetox study at the doses
18 that were used in the bioassays, the cancer bioassays.

19 As I stated before, I really liked the comparison
20 to structurally similar molecules. I think there's a
21 strong weight of evidence there. And I'm curious to hear
22 what my other Panel members feel about the ToxCast data.
23 You know, you all probably look at this type of data more
24 frequently than I do. But there clearly seem to be a lot
25 of DNA damage and cytotoxicity, oxidative stress, the same

1 sort of competing mechanisms.

2 But anyway, that's my comments. And I would
3 agree that I think it's a proposed listing.

4 CHAIRPERSON MACK: Thanks, Tom.

5 Now, let's go through the -- I was going to start
6 with Jason. Do you have any comments?

7 COMMITTEE MEMBER BUSH: I don't have anything to
8 add.

9 CHAIRPERSON MACK: Okay. Shanaz.

10 COMMITTEE MEMBER DAIRKEE: I just wanted to thank
11 the staff for providing us with the ToxCast data. It is
12 very complex, high-dimensional data, and difficult to
13 understand. But it came through very clearly from that --
14 certain things came through very clearly, the
15 genotoxicity, went very well with the P53 going up in
16 several assays. But I -- there's a caveat here, that
17 listing so many assays as being active, and not having
18 clarity even in the ToxCast data, whether the activity was
19 in the positive direction or the negative direction.

20 And by that I mean that when P53 goes up, cell
21 proliferation goes down. So even if you have an active
22 assay, it doesn't mean that the cells are proliferating.
23 They are not proliferating as also an active assay in the
24 ToxCast system.

25 So I think my colleague here made a very good

1 point that the cytotoxicity is -- comes across much more
2 in the ToxCast assays than the carcinogenicity aspect,
3 so -- but overall, it is very clear that it's a genotoxic
4 compound. And that's all I have to say.

5 CHAIRPERSON MACK: Thank you. Michelle.

6 COMMITTEE MEMBER LA MERRILL: Thank you. I
7 thought the material was very clear and really helped
8 facilitate my review. I don't really have much additional
9 to say. But I do think that it's strong to note that
10 there is multiple tumor sites in both sexes of two
11 mammalian species. And that even putting the ToxCast
12 aside, it looks like, you know, key characteristics are
13 represented in there by about four different key
14 characteristics. And I did find it helpful that although
15 the in vivo data was a bit sparse, that we did see
16 presence of the oxidative metabolites, in that helpful
17 table where you indicated which carcinogens were -- or,
18 excuse me, which of those metabolites formed tumors that
19 were -- that related to the parent compounds.

20 Thanks.

21 CHAIRPERSON MACK: Joe, do you have other
22 comments?

23 COMMITTEE MEMBER LANDOLPH: Yeah. This was a
24 relatively easy one for me. In fact, after awhile, I got
25 tired of reading all the positives.

1 (Laughter.)

2 COMMITTEE MEMBER LANDOLPH: So in my, you know,
3 role as a senior member who -- help teach a little bit
4 here. There is an overwhelming amount of data here.
5 There's no doubt in my mind whatsoever that this is a
6 metabolizable DNA-binding genotoxic metabolite. It's
7 positive in many different systems for in vitro
8 genotoxicity.

9 I was looking at the -- the number of tumor sites
10 is one, two, three, four that are very strong, and another
11 five, six, seven, eight, nine, ten organs that it causes
12 tumors in in male and female mice and rats. So this one
13 doesn't really require much thought. I mean, we've had
14 chemicals that were kind of marginal. And this has like
15 about 20 times as much evidence. So I don't have any
16 problems with this at all.

17 The ToxCast data, I think is kind of peripheral.
18 I hate to be denigrating about it, but I think it's kind
19 of marginal. I like the solid endpoints, like the
20 genotoxicity, the DNA binding, the mutagenesis, the
21 clastogenesis, the tumorigenicity data. I think it's
22 clear EPA want to use this ToxCast data, but I'm not
23 really wild about it. I think if you're going to put
24 something regulated into the legal arena, you better have
25 solid data. And that ToxCast data really doesn't impress

1 me that much. It never did as I've seen it develop.

2 So my vote for this would be overwhelmingly that
3 it is shown by the standard methods, scientific methods,
4 to be carcinogenic.

5 CHAIRPERSON MACK: All right.

6 COMMITTEE MEMBER STERN: Yeah. I don't have a
7 lot to add. I agree the documents were incredibly clear,
8 so thank you for that. I learned a lot. It was wonderful
9 to read. I think what I found very compelling was that
10 the chemotypes show the localization of the tumors match
11 the key localization for gentian violet. So I think that
12 that's a very compelling argument on top of everything
13 else.

14 And, yeah -- and sorry, I lost my train of -- I
15 was going to say something else that I found important,
16 but now the thought escaped me.

17 But I agree that it has to be -- the
18 recommendation has to be to list it, because I think it's
19 a compelling argument that it's carcinogenic.

20 Oh, sorry, I remember my thought. The other
21 thing that I thought was compelling that hasn't been
22 mentioned yet is that many, at least three of the key
23 metabolites of gentian violet are known to be potent
24 carcinogens, like formaldehyde, for example. So I think
25 that makes a very compelling argument that overall it's

1 carcinogenic.

2 CHAIRPERSON MACK: Thank you. Peggy.

3 COMMITTEE MEMBER REYNOLDS: I also want to thank
4 the staff for always a very nice and comprehensive review,
5 and by the way, for being so diligent to try to find human
6 health evidence.

7 (Laughter.)

8 COMMITTEE MEMBER REYNOLDS: And I think that
9 these two reports, they weren't really studies, that are
10 over 30 years old were interesting, not particularly
11 informative. I think the -- the Brazilian study, the
12 investigators very clearly said they were really trying to
13 see whether people could self-report exposure, as opposed
14 to really doing an outcome study.

15 I think it's interesting that these reports are
16 over 30 years old, and we haven't heard anymore about
17 this. But nonetheless, in the absence of particularly
18 compelling human health evidence, I think the other
19 evidence that was presented is very compelling. And I
20 thank you.

21 CHAIRPERSON MACK: Luoping.

22 COMMITTEE MEMBER ZHANG: Okay. As most of the
23 Panel already say, you know, the -- I really think today
24 this presentation I would say is one of the best --

25 (Laughter.)

1 COMMITTEE MEMBER ZHANG: -- while I'm being here.
2 Very clear, particularly the metabolism. You know, it's
3 complicated structurally. But you presented the way it's
4 very easy for everybody to follow. Particularly also, I
5 think, you know, you mentioned that like formaldehyde. So
6 everything if it's already identified as a carcinogenic
7 compound, it's presented very clearly. So I really like
8 that. So even though everybody was saying, I still want
9 to have my chance to -- to acknowledge.

10 And another thing I want to also say is you
11 included the key characteristics, and trying to, you know,
12 put that into. I think that's -- it's a good way. And
13 also, I really like that. I hope you can continue to
14 apply that idea into our process when we're trying to
15 identify the carcinogen.

16 Back to one point is ToxCast assays. So I
17 actually think, you know, always -- you heard, you know,
18 some members, but I think it's a good idea to just see
19 what other assay has been tried. I was actually surprised
20 that, you know, they even tested for this gentian, you
21 know, violet. You know, I don't now how they pick it up.

22 But I think if they already test it, and there's
23 some data, and then you bring that to here, and the first
24 to compare what you already found, I still think this
25 approach still good.

1 I mean, we're not really trying to only using the
2 ToxCast data to make our judgment, but it's good to bring
3 that somebody else already looked at this, and this is
4 what we found. And then in comparison with our KC, you
5 know, key characteristic data, I still think it's a very
6 good approach. So I gave you a really, you know, plus,
7 plus, plus for that.

8 (Laughter.)

9 COMMITTEE MEMBER ZHANG: So back to -- everybody
10 already saying this is very clear carcinogen. So there's
11 no doubt. But the only thing one -- you know, following
12 my fellow member Peggy, there's only one human study,
13 okay, for this, right? It's a hospital based. It's
14 another very -- I know we're not focusing on that, but I'm
15 still thinking -- I was just wondering when you presented
16 the human data, I was trying to find it, you know, back to
17 the original study, but I couldn't.

18 So one thing I thought if 26 of the 37 reported,
19 you know, had a single exposure, had some kind of benign
20 or malignant lesions or cancers, so I actually -- really,
21 my mind was thinking about -- how about another site. The
22 rest if they don't have or how many they have. So I
23 would -- but I was really trying to find out, but you
24 know, I don't know if originally they didn't -- yeah, so I
25 did a quick calculation. That's like 70 percent of, you

1 know, 26 of the 37. That's really high.

2 So but anyway, I'm just wondering about that only
3 human data. I know you won't create that one, but anyway.

4 COMMITTEE MEMBER REYNOLDS: I just -- I just want
5 to -- I just want to add that it was really nice to get
6 some translations from the Portuguese to be able to
7 actually read those original comments. And I didn't
8 mention the German case study, but that was intriguing,
9 but a case study.

10 COMMITTEE MEMBER ZHANG: Yeah. Yeah. Anyway.
11 Okay. So even I find --

12 CHAIRPERSON MACK: Anybody else have any
13 afterthoughts?

14 David.

15 COMMITTEE MEMBER EASTMOND: I have one additional
16 question for the OEHHA staff. I talked about DNA binding.
17 Do you know if that was covalent binding DNA or was that
18 sort of binding like intercalation where you get staining?
19 Because that's the one thing that I wondered about.

20 COMMITTEE MEMBER McDONALD: I figured that out as
21 well.

22 CHAIRPERSON MACK: Any others?

23 DR. SUN: I think the early studies showed that
24 it binds to the AT sites in the DNA. And I don't believe
25 they found covalent adducts.

1 COMMITTEE MEMBER EASTMOND: Okay.

2 CHAIRPERSON MACK: We haven't had any volunteers
3 from the public to make comments. Gary, are you
4 motivated?

5 (Laughter.)

6 CHAIRPERSON MACK: Does anybody else want to step
7 up and make remarks?

8 If not, then we'll go to the voting procedure.

9 So the words that I am supposed to be very
10 careful about reading are, has gentian violet been clearly
11 shown through scientifically valid testing, according to
12 generally accepted principles to cause cancer? All those
13 voting yes, please raise your hand.

14 (Hands raised.)

15 CHAIRPERSON MACK: All of those voting no?

16 (No hands raised.)

17 CHAIRPERSON MACK: So the decision is unanimous.
18 We have decided that it does in fact cause cancer, and it
19 requires listing. Now, do you want to take a break for a
20 little while?

21 DIRECTOR ZEISE: Five minutes.

22 CHAIRPERSON MACK: Okay. You can use that. Okay
23 fine.

24 (Off record: 11:15 a.m.)

25 (Thereupon a recess was taken.)

1 (On record: 11:32 a.m.)

2 CHAIRPERSON MACK: I guess we can get started
3 again. Are you prepared?

4 Okay. Go ahead. Oh, wait a minute. No. Lauren
5 has some --

6 DIRECTOR ZEISE: Yes. I have some corrections to
7 the introductions of the Panel. First, I gave Joe an
8 early retirement, so Dr. Landolph has not retired.

9 (Laughter.)

10 DIRECTOR ZEISE: And so that's the first thing.
11 And the second thing is that Dr. Reynolds is now with the
12 Department of Epidemiology and Biostatistics at the
13 University of California, San Francisco.

14 So thank you.

15 COMMITTEE MEMBER DAIRKEE: One more.

16 DIRECTOR ZEISE: And one more.

17 COMMITTEE MEMBER DAIRKEE: My last name is
18 Dairkee. Dr. Dairkee.

19 DIRECTOR ZEISE: Dairkee. And Dr. Dairkee.
20 Thank you.

21 CHAIRPERSON MACK: All right.

22 DR. SANDY: Okay. Thank you, Dr. Mack. This is
23 Martha Sandy.

24 So the next chemical that you're going to hear
25 about is one that's hard to say,

1 n-nitrosohexamethyleneimine. We brought that to your
2 Committee during -- in 2009 for prioritization. So
3 it's -- it was awhile ago. I wanted to also point out
4 because this chemical has a lot -- a number of bioassays,
5 we used a format with mostly tabulation of those bioassays
6 in the -- the table format was a little different, and
7 we're happy to hear if you want to give us some feedback
8 on that in your comments.

9 You'll be hearing from three different staff, Dr.
10 Feng Tsai, Dr. Jennifer Hsieh, and Dr. Gwen Osborne.

11 (Thereupon an overhead presentation was
12 presented as follows.)

13 DR. TSAI: Good morning. My name is Feng Tsai.
14 And today we are here to present the evidence on the
15 carcinogenicity of n-nitrosohexamethyleneimine. This
16 presentation is an abbreviated version of the data that
17 were reviewed in the hazard identification document
18 provided --

19 DIRECTOR ZEISE: Excuse me, Dr. Tsai, could you
20 speak just a little bit more into the microphone and a
21 little louder?

22 --o0o--

23 DR. TSAI: Sure. So throughout our presentation,
24 we'll use the shortened -- shorthand term NHEX to refer to
25 this chemical. NHEX is a heterocyclic nitrosamine that is

1 formed by the reaction by a secondary amine and a
2 nitrosating agent. NHEX is not known to occur naturally.

3 NHEX has been reported to be a contaminant in a
4 prescription drug for diabetes called Tolazamide. NHEX
5 may also form in the acidic environment of the stomach in
6 patients taking this drug with nitrite from diet.

7 There is little information on current use of
8 NHEX. Historically, it has been used in industrial
9 chemical synthesis. It is also used as an explosive in
10 ejector seats of military jets.

11 This chemical has not been reviewed by any
12 Proposition 65 authoritative bodies. The European
13 Chemical Agency, ECHA, has classified this chemical as a
14 category 1B carcinogen, meaning NHEX is presumed to have
15 carcinogenic potential for humans, largely based on animal
16 evidence.

17 Like other nitrosamines, NHEX has a nitroso group
18 circled in red in the chemical structure shown here. The
19 alpha-, beta-, and gamma-carbon positions are also labeled.

20 --o0o--

21 DR. TSAI: No human data were identified in the
22 literature search for NHEX. There's a rich set of animal
23 studies with 33 cancer bioassays identified. This table
24 summarizes a number of exposure routes, strains, and
25 experiments by species for the bioassays. NHEX was

1 studied in three species, mice, rats, and hamsters in both
2 sexes, and often using multiple exposure routes and
3 strains.

4 Information on the study design and study finding
5 of each of the 33 bioassays is presented in the hazard
6 identification document. In the interest of time, today
7 we'll only summarize key findings from these studies by
8 species, and present detailed information from two or
9 three studies for each species as examples.

10 --o0o--

11 DR. TSAI: This slide shows the overview of the
12 bioassays in mice. A total of 15 studies were conducted
13 in eight strains with different exposure routes, including
14 drinking water, gavage, and subcutaneous injection.

15 Additional study design information, not shown on
16 this slide, including the following: Small numbers of
17 treated animals were used in these bioassays, ranging from
18 10 to 20 animals per treatment group. All 15 bioassays
19 included concurrent controls.

20 A high level summary of the treatment-related
21 tumor findings from these studies is presented here.
22 Tumor types shown in the red color indicate rare tumors in
23 untreated mice, and asterisk represent statistically
24 significant increase in tumor incidence at P equal to
25 0.05, either by pairwise comparison with control or by

1 trend test.

2 In NHEX-treated mice, statistically significant
3 increases were observed in both sexes of tumor of the
4 oropharynx, esophagus, lung, three types of liver tumor,
5 forestomach, glandular stomach, and reticuloendothelial
6 lymphoma. Several of these significantly increased tumors
7 are also rare. In addition, increases in rare nasal
8 cavity tumor were observed in treated female without
9 reaching statistical significance.

10 Next, I'll present two examples of mouse
11 bioassay.

12 --o0o--

13 DR. TSAI: This is the first example. Male NZO
14 mice were treated with NHEX via drinking water, five days
15 a week for eight weeks, and observed until death or killed
16 when moribund. The first two columns show the tumor site
17 and tumor type. R in the tumor site or tumor type column
18 indicates the tumor is rare in untreated animals.

19 An unusual tumor grouping of oropharynx was used
20 by these authors, and included tumors of the nasal cavity,
21 tongue, and larynx, as well as the oral cavity and
22 pharynx.

23 Increases in malignant tumors or combined benign
24 and malignant tumors of multiple rare tumors shown in this
25 table were statistically significant by pairwise

1 comparison with controls. These rare tumors are the
2 oropharynx, esophagus, liver cholangioma and
3 cholangiocarcinoma, forestomach, and glandular stomach.
4 Statistically significant increases of other malignant
5 tumors were also observed, specifically hepatocellular
6 carcinoma and reticuloendothelial lymphomas.

7 --o0o-- sighs

8 DR. TSAI: This shows another example of a mouse
9 bioassay. Female SENCAR mice were gavaged with NHEX in
10 corn oil twice a week for 30 weeks. Control animals
11 received vehicle only. Animals were observed until death
12 or killed when moribund. In cases where control
13 incidences of tumor types were not reported, shown as NR
14 here, we used the incidence for all tumors at that
15 specific site to perform the pairwise comparison.

16 For example, the number of lung adenoma in
17 controls was not reported. We used the total number of
18 lung tumors at 1 out of 20 to conduct a pairwise
19 comparison for lung adenoma. The same approach applied to
20 liver or forestomach tumors.

21 Statistically significant increases in malignant,
22 or benign, or a combination of benign or malignant tumors
23 were observed in the lung, liver, and forestomach. Note
24 that the total liver tumors, 12 out of 20, were reported
25 in the paper by Strickland et al. We usually do not sum

1 up tumors from different cell types. Forestomach
2 carcinomas are rare in mice. Increases in benign and
3 malignant nasal cavity tumors and benign esophageal
4 tumors, all of which are rare, were also observed.

5 I've only presented two examples of the 15 bio --
6 mice bioassays. Detailed information on all 15 studies
7 can be found in table 4 of the HID.

8 --o0o--

9 DR. TSAI: This is an overview of the rat's
10 bioassay. NHEX was administered in three strains of rats
11 through drinking water in six studies. One additional
12 subcutaneous injection study that was reported in a short
13 German abstract with limited information was not included
14 in this slide.

15 Small numbers of animals, 15 to 20 per treatment
16 group, were used in these rats bioassays.

17 Among the six bioassays, one study included a
18 concurrent control, one study used colony control, and
19 four bioassays did not include control. However, high
20 incidences of rare tumors were observed repeatedly in
21 these experiments without control.

22 For example, in two drinking water studies
23 conducted by Goodall et al., 100 percent of the treated
24 males and 73 percent of the treated females developed rare
25 hepatocellular carcinomas or rare liver hemangiosarcomas.

1 A high-level summary of the tumor finding in
2 these rat studies is shown here. In NHEX treated rats,
3 statistically significant increases in rare tumors
4 included: tumors of the rare nasal cavity in males; and
5 tumors of the esophagus, hepatocellular adenoma and
6 carcinoma, and liver hemangioma and hemangiosarcoma in
7 both sexes.

8 In addition, increases in rare nasal cavity and
9 tongue tumors were observed in females, without reaching
10 statistical significance.

11 Two rat studies will be shown next as examples.
12 Details can be found in table 5 of the HID.

13 --o0o--

14 DR. TSAI: This is the first example. Male SD
15 rats received NHEX via drinking water, five days a week
16 for 30 weeks, with a total dose of 330 milligrams per
17 animal. This study did not include a concurrent control
18 group. The author refers to the spontaneous tumor
19 incidences from a continuous series of unexposed male rats
20 from the same animal colony maintained in the same
21 facility as colony control.

22 Statistically significant increases in malignant
23 tumors were observed in the nasal turbinate and for two
24 different cell types in the liver. All are rare tumors.

25 Rare esophageal papillomas and carcinomas were

1 also seen, and the increase in papillomas was
2 statistically significant.

3 --o0o--

4 DR. TSAI: This is another example of a rat
5 bioassay. Female male F344 rats received NHEX in drinking
6 water five days a week for 28 weeks, and observed until
7 death or killed when moribund.

8 Statistically significant increases in rare
9 malignant tumors were observed in the esophagus and in two
10 different cell types in the liver. The combined incidence
11 benign and malignant esophageal tumors was also
12 statistically significant.

13 --o0o--

14 DR. TSAI: 11 NHEX bioassays were conducted in
15 Syrian golden hamsters including seven experiments by
16 subcutaneous injection and four experiments by
17 transplacental exposure as a result of subcutaneous
18 injection of the pregnant dams. These transplacental
19 studies were designed to investigate whether the prenatal
20 life stage is more susceptible to NHEX than the parent
21 generation. And the doses used in these studies were
22 characterized by the author as low or non-carcinogenic.
23 Three transplacental studies used a single dose of 10
24 milligrams per kilogram. The doses used in the four
25 studies were two to eight times higher.

1 A high level summary of the tumor findings in
2 these hamsters is shown here. Because of the special
3 two-generation study involved in the hamsters, we
4 separated tumor findings by exposure routes.

5 In NHEX-treated hamsters by subcutaneous
6 injection statistically significant increases in rare
7 tumors were observed in the nasal cavity and trachea in
8 both sexes, and in the lungs in males. Rare laryngeal
9 tumors were also observed.

10 In hamster receiving NHEX via transplacental
11 exposure, no treatment-related tumor findings were found
12 in the single-injection studies. In the
13 multiple-injection study, increases in rare laryngeal and
14 tracheal tumors were statistically significant in the
15 offspring. Similar tumor findings were also observed in
16 the parent generation, reported above in the subcutaneous
17 injection results.

18 --o0o--

19 DR. TSAI: This is the first example from the
20 hamster bioassay. This subcutaneous injection study in
21 males is one of the few available NHEX bioassays that have
22 multiple treatment groups receiving doses ranging from
23 four to 64 milligrams per kilogram. Animals received
24 weekly subcutaneous injections for life. Dose-dependent
25 decreases in survival were observed in three highest dose

1 groups compared with control. The median survival for the
2 highest dose groups of 64 milligrams per kilogram were
3 only about 18 weeks.

4 It is possible that animal in this highest dose
5 group may not have lived long enough for tumors to have
6 developed at some sites. Statistically significant
7 increases in combined benign and malignant tumors were
8 observed in the nasal cavity and in the lung in one or
9 more dose groups. Statistically significant increases in
10 benign tumors of the trachea were also observed in all
11 dose groups with a significant dose-related trend.

12 Increases in laryngeal tumors were also observed.
13 All of these sites are rare in hamsters.

14 --o0o--

15 DR. TSAI: This slide shows the results of two
16 studies in pregnant hamsters. One is a single
17 subcutaneous injection study, and the other administered
18 multiple injections of what was described by the
19 investigator as non-carcinogenic dose of NHEX. The dose
20 of NHEX in the single injection study was 10 milligrams
21 per kilogram. It was administered on different days to
22 different pregnant hamsters in different -- in the
23 treatment groups, and occurred between gestation days 8 to
24 15.

25 In the multiple injection study, pregnant

1 hamsters received anywhere from two to eight injections
2 within the period of gestation days 8 to 15. The total
3 dose of NHEX received by individual animals in the
4 multiple injection study ranged from 20 to 80 milligrams
5 per kilogram body weight.

6 No tumors were observed in treated females in the
7 single-injection study.

8 In multiple injection studies, statistically
9 significant increases in rare benign tumors of the larynx
10 and the trachea were observed. In addition, two rare
11 malignant nasal cavity tumors were observed.

12 Next, Dr. Hsieh will present a summary of the
13 other relevant data.

14 --o0o--

15 DR. HSIEH: Thank you, Dr. Tsai.

16 I will start with a summary of the
17 pharmacokinetics and metabolism of NHEX. NHEX is absorbed
18 and distributed rapidly, metabolized completely, and
19 excreted in the urine, and in the breath as carbon
20 dioxide.

21 NHEX can be biotransformed by cytochrome P450
22 enzymes to form a number of metabolic products:

23 Although the hazard identification document
24 indicated that 17 metabolites have been detected and
25 identified in mammalian systems, the correct number should

1 be 18. The additional metabolite is hexamethyleneimine.
2 It was detected in the urine in NHEX treated rats by gas
3 liquid chromatography analysis in the paper published by
4 Grandjean 1976. Seven additional metabolites of NHEX has
5 -- have been proposed, and a number of other metabolites
6 have been detected but not yet identified.

7 --o0o--

8 DR. HSIEH: Now, I will walk you through the
9 metabolism of NHEX, which occurs through a number of
10 different pathways.

11 --o0o--

12 DR. HSIEH: Here is the structure of NHEX. NHEX
13 is metabolized by cytochrome P450 enzyme under a number of
14 pathways, including multiple hydroxylation and
15 denitrosation pathways. As I walk through the different
16 metabolic pathways, chemical names shown in bold indicate
17 metabolites that have been detected in mammalian system.
18 Reactive intermediates are shown in brackets. Question
19 marks indicate proposed reaction.

20 Let me start initially with three hydroxylation
21 pathways. Several studies show that NHEX can be
22 hydroxylated at alpha-, beta-, gamma-carbon to form
23 alpha-, beta- or gamma-hydroxy NHEX. Beta-hydroxy NHEX
24 and gamma-hydroxy NHEX can be further metabolized to form
25 oxidative derivative. Alpha-hydroxylation appeared to be

1 the predominant hydroxyl -- hydroxylation pathway. It is
2 also the most well studied pathway. And I'll show you the
3 step involved in further metabolism of alpha-hydroxy NHEX
4 in a minute. Carbon dioxide can be produced in each of
5 these hydroxylation pathways.

6 Two denitrosation pathways have been proposed.
7 In the first, an electrophilic nitrosonium ion is formed,
8 along with hexamethyleneimine, which I mentioned earlier
9 has been detected in the urine of rats exposed to NHEX.
10 In the second pathway, an NHEX radical, NHEX imminium ion,
11 hexamethyleneimine, which is the ring structure with a
12 double bond in the center of the figure here, and a
13 nitrosonium ion are proposed.

14 NHEX has also been shown to form
15 epsilon-aminocaprohydroxamic acid.

16 Now, let's look at the later steps in the
17 alpha-hydroxylation pathway. This pathway is thought to
18 be the primary pathway of NHEX metabolism, and to involve
19 the formation of a several reactive metabolites. These
20 include the formation of NHEX radical and NHEX imminium
21 ion, both of which has been proposed to form
22 alpha-hydroxyl NHEX.

23 After alpha-hydroxylation, the ring structure is
24 cleaved between an alpha carbon and a nitrogen atom to
25 form diazohydroxide. Diazohydroxide can be further

1 converted an unstable intermediate carbonium ion
2 metabolite, then by a hydration reaction, recruiting a
3 water molecule to form 6-hydroxyhexanal. After a
4 reduction reaction, 6-hydroxyhexanal is converted to
5 1,6-hexanediol, and eventually it's metabolized to form
6 carbon dioxide. 1,6 hexanediol can also react with DNA
7 and RNA, as 1,6-hexanediol adducts has been observed in
8 rats exposed to NHEX.

9 Adipic acid and epsilon-caprolactam can also be
10 produced from alpha-hydroxy NHEX. Epsilon-caprolactam is
11 then metabolized further to carbon dioxide.

12 In order to recap a number of different pathways
13 of NHEX metabolism, which I have just shown you, here is
14 the whole picture of the NHEX metabolic scheme.

15 During these biotransformation processes, several
16 reactive electrophilic metabolites have been proposed,
17 including a NHEX radical, a NHEX imminium ion, a carbonium
18 ion metabolite, and nitrosonium ions -- nitrosonium ion.

19 In addition, formation of the genotoxic and
20 electrophilic metabolite, 1,6-hexanediol, has been
21 demonstrated.

22 --o0o--

23 DR. HSIEH: Now, moving on to genotoxicity
24 studies of NHEX. Available genotoxicity studies in
25 bacteria, in mammalian cells, and in in vivo studies in

1 rat liver DNA and RNA in vivo.

2 Genotoxicity findings of epsilon-caprolactam were
3 primarily negative. Adipic acid was negative in
4 mutagenicity assay in bacteria and in mammalian cell.

5 No genotoxic studies were found for other
6 metabolites.

7 Next, Dr. Osborne will present the findings from
8 structure activity comparisons.

9 --o0o--

10 DR. OSBORNE: Okay. So the structure of NHEX is
11 shown in the center. NHEX shares structural similarities
12 with other cyclic nitrosamines. The five chosen for
13 comparison are shown here, several of which are very
14 similar in structure to NHEX but with different numbers of
15 carbons.

16 Four of the five comparison chemicals are listed
17 as carcinogens under Proposition 65. These are
18 2,6-dimethylnitrosomorpholine, or DMNM, nitrosomorpholine,
19 or NM, n-nitrosopiperidine or NP, and
20 n-nitrosopyrrolidine, or NPYR.

21 --o0o--

22 DR. OSBORNE: All five comparison chemicals
23 induce tumors in animal cancer bioassays, and, as shown
24 here, each of these five chemicals share common target
25 tumor sites with NHEX in one or more species.

1 The different tumor sites observed in studies of
2 NHEX are indicated in the column headings across the top
3 of the table, and the different chemicals are presented in
4 each row with NHEX in the first row.

5 The species that the tumors occur in are
6 indicated in the table with R for rats, M for mice, and H
7 for hamsters. Nasal cavity, larynx and/or trachea, and
8 lung tumors were seen with NHEX in all five comparison
9 chemicals. Esophagus and forestomach tumors were seen
10 with each -- seen with four of the comparison chemicals.
11 With regard to the liver, this slide presents a simplified
12 version of the information in table 13 of the HID, because
13 NHEX induces three different types of liver tumors.

14 Hepatocellular tumors and vascular tumors were
15 seen in the same species as with NHEX with three
16 comparison chemicals. Bile duct tumors were seen in three
17 different comparison chemicals, but in different species
18 than NHEX.

19 Not shown here, but discussed in the HID,
20 additional NHEX target sites, namely tongue and pharynx,
21 were each observed with two comparison chemicals and
22 glandular stomach tumors were observed with one.

23 --o0o--

24 DR. OSBORNE: This table compares the findings
25 from genotoxicity studies for NHEX and the five

1 structurally-related chemicals. All comparison chemicals
2 that were tested for various genotoxicity endpoints were
3 positive. Specifically, all tested comparison compounds
4 were positive for mutagenicity in salmonella and E. coli,
5 and for mutagenicity and/or DNA or chromosomal endpoints
6 in mammalian cells in vitro.

7 All chemicals that were tested in Drosophila were
8 positive for x-linked recessive-lethal mutations and all
9 that were tested for DNA and/or RNA binding in vivo in
10 rats or hamsters were positive.

11 --o0o--

12 DR. OSBORNE: Quantitative structure activity
13 relationships, or QSAR -- excuse me -- predictions for
14 NHEX have been published by the European Chemicals Agency,
15 known as ECHA. QSAR models predict the toxicity of
16 chemicals by correlating their physical and chemical
17 properties of related compounds to the biological activity
18 quantitatively.

19 ECHA analyzed NHEX using the QSAR toolbox and
20 several different models in the VEGA QSAR platform. As
21 shown on this slide, the QSAR toolbox and the various
22 carcinogenicity and mutagenicity models within VEGA each
23 predicted that NHEX is a carcinogen and a mutagen.

24 --o0o--

25 DR. OSBORNE: OEHHA has organized the proposed

1 column and the top header row indicated a different animal
2 species. The yellow highlight indicates rare tumor sites.

3 Now, I will present a summary of tumor evidence
4 from top to the bottom.

5 First, increases in rare nasal cavity tumors and
6 lung tumors were observed in all three species.

7 Increases in rare stomach tumor, rare esophageal
8 tumors, rare glandular stomach tumors, liver
9 hepatocellular adenoma/carcinoma and liver
10 hemangioma/hemangiosarcoma were observed in two species,
11 mice and rats.

12 Increases in rare liver
13 cholangioma/cholangiocarcinoma, rare oropharyngeal tumors,
14 and reticuloendothelium tumor were observed in mice. An
15 increase in rare tongue tumors was observed in rats.
16 Lastly, increases in rare laryngeal and tracheal tumors
17 were observed in hamsters in both sexes and in two
18 generation studies in both exposed dams and in the F1
19 offspring in both sexes.

20 --o0o--

21 DR. HSIEH: Continuing on summary of other
22 relevant data.

23 NHEX is bioactivated by cytochrome P450s to form
24 a number of electrophilic and/or genotoxic metabolites, as
25 summarized on this slide. NHEX has been tested for

1 genotoxicity and found to be mutagenic in bacteria in
2 Chinese hamster lung V79 cells in vitro and in Drosophila
3 in vivo. And in rats exposed in vivo, NHEX was found to
4 bind covalently to liver RNA and DNA.

5 --o0o--

6 DR. HSIEH: Finally, there are strong
7 structure-activity similarity between NHEX and five
8 comparison heterocyclic nitrosamines, four of which are
9 listed as carcinogens under Proposition 65.

10 Several QSAR models predict that NHEX is both
11 mutagenic and carcinogenic. And the mechanistic findings
12 for NHEX are associated with two key characteristics of
13 carcinogens, shown here: Can form electrophilic
14 metabolites, and is genotoxic.

15 That concludes today's presentation.

16 Thank you.

17 CHAIRPERSON MACK: Thank you, Dr. Tsai and thank
18 you Dr. Hsieh. Does anybody have any questions for the
19 staff?

20 COMMITTEE MEMBER EASTMOND: I have a question.
21 Do you have a -- you have a lot of place you indicate this
22 is a rare tumor. How do you distinguish rare from
23 uncommon, et cetera? How is that --

24 DR. TSAI: Okay. Generally speaking we use less
25 than one percent in historical control for rare. And we

1 use this definition from the IARC pathology or any
2 published paper. But uncommon is when sometimes in the
3 pathology books or in some, for example, the New Zealand
4 Inbred Mice, the authors would say this tumor is uncommon.
5 So uncommon would be something around roughly one to two,
6 three percent. Yeah.

7 COMMITTEE MEMBER EASTMOND: That's fine. No, I
8 was just curious, because I was trying to figure it out.

9 DR. TSAI: For rare, we have more stringent
10 standards. It has to be rare, not by our definition, but
11 by the common accepted definition.

12 COMMITTEE MEMBER EASTMOND: Thanks.

13 DR. SANDY: And I'll just add, Dr. Eastmond
14 that --

15 CHAIRPERSON MACK: Anybody else?

16 DR. SANDY: Yeah. Can I -- this is Martha Sandy.
17 If I can just add in the pathology section of the
18 documents, we do try to go tumor site by tumor site and
19 give some citations. When we say that something is rare
20 or uncommon, we're citing a paper or a reference that
21 tells you that.

22 CHAIRPERSON MACK: Anybody else have a questions?

23 All right. Let's go to Joe.

24 COMMITTEE MEMBER LANDOLPH: This one is similar
25 to the other one in that there's a lot of data here. And

1 I really liked the hazard identification document. It's
2 fantastic. Keep doing them this way. It's great.

3 What impressed me first was that there were three
4 species in which you had positives. And then the next
5 thing I looked at was there were male and female, both
6 were positive. And if I remember right, there were 10
7 assays in the mice, eight in the rats, and four in the
8 hamsters. So that's a lot of data positive just on the
9 tumorigenicity standpoint.

10 Then in addition to that, there the classical
11 cytochrome P450 metabolism. Many of the metabolites are
12 mutagenic and clastogenic. So that was great. Cytochrome
13 P450 mediated production of metabolites, which are
14 genotoxic.

15 And then I really thought that the data on the
16 congeners was very helpful. So that was all positive, and
17 many of these were carcinogens as well. And I think you
18 mentioned that some of these metabolites were carcinogens
19 on the Prop 65 list. So that's all very good.

20 So it fits together for me in a compelling set of
21 convincing evidence, which is all consistent. So thank
22 you. I think you did a great job. And I'm very satisfied
23 with this one. I have no problem, in my opinion, stating
24 that this is a chemical that has a lot of evidence that
25 all points in the same direction, that of a significant

1 carcinogen.

2 CHAIRPERSON MACK: Thank you, Joe.

3 Jason.

4 COMMITTEE MEMBER BUSH: All right. Well, I, too,
5 want to thank the OEHHA staff for those of you that
6 contributed and reviewed this. I was really impressed by
7 the scope and the extent of the literature search strategy
8 that was indicated in the appendix for this chemical. And
9 it really did give the impression that you left no stone
10 unturned. So well done and keep doing it that way,
11 please.

12 With respect to NHEX, like Joe said, I did find
13 the weight of the evidence compelling. No human data, so
14 we really are consigned to the other surrogate data,
15 particularly the animal studies, finding 33 of these
16 species specific studies.

17 I think it was great. The way it was outlined in
18 the table was very helpful. It's clear that most of these
19 were epithelial in nature when they affected the GI tract.
20 And that is consistent with the direct exposure, either
21 through drinking water or the gavage route.

22 The presence of liver tumors I think is
23 consistent with the carcinogenicity of the metabolites.
24 Likewise, with the other tumor types found in the
25 exhalation pathways associated with CO2 excretion.

1 You did state in the HID that several of these
2 studies were limited by small numbers of animals, lack of
3 concurrent controls and limited duration of exposure. But
4 these were some very old studies. And despite that, I
5 think you did a great job kind of teasing out some
6 statistically valid data from that. So thank you.

7 The positivity for the mutagenic outcomes in
8 bacteria, in the mammalian cells in vitro in flies, again
9 was for me compelling positive data. The significant
10 DNA/RNA binding liver preparations from rats after in vivo
11 exposure, again alluding to the metabolite connection
12 here.

13 The structure activity considerations, we just
14 saw the table, and I think again continuing compelling
15 data for these cyclic nitrosamines that we have listed
16 previously.

17 The tumor site comparisons, and particularly in
18 table 13, and the genotoxicity comparisons in table 14
19 were really convincing as well.

20 I -- in terms of the ToxCast data, as my
21 colleague Dr. Landolph said earlier, I think we have to be
22 careful with that information. But it's still informative
23 and it is good to see that. You did identify an increase
24 in the pregnane X receptor, PXR receptor. It's a nuclear
25 receptor. We know that this is involved with xenobiotic

1 metabolism of various compounds. We know that it's -- it
2 interacts with CYP, so that all fits with the -- you know,
3 the assumption of the mechanism, and is consistent with
4 that -- the mechanistic evidence of that -- of
5 electrophilic metabolites.

6 And finally, the fact that the European Chemicals
7 Agency classifies it as a class 1B carcinogen, I was
8 convinced.

9 CHAIRPERSON MACK: Thank you, Jason.

10 We'll go down the list again now. Shanaz, do you
11 have any comments?

12 COMMITTEE MEMBER DAIRKEE: I don't have any
13 additional comments.

14 CHAIRPERSON MACK: Tom.

15 COMMITTEE MEMBER McDONALD: First, I wanted to
16 give some feedback that I absolutely love the table format
17 that you presented. It made review of each study very
18 good. I like the fact that you had all the species,
19 strain information, the dose, and the regimen, survival,
20 incidence -- including incidence and percentage all in one
21 spot, made it really nice to review.

22 It's clear this is a model carcinogen, a
23 transplacental carcinogen, and there's a very strong
24 weight of the evidence. I just had one question what the
25 heck happened with ToxCast? It seemed to be amiss.

1 (Laughter.)

2 COMMITTEE MEMBER McDONALD: If you guys can talk
3 about that later. That's it.

4 CHAIRPERSON MACK: Michelle.

5 COMMITTEE MEMBER LA MERRILL: I have nothing
6 additional to add.

7 CHAIRPERSON MACK: David.

8 COMMITTEE MEMBER EASTMOND: A couple things.
9 First, a commentary on ToxCast. This is sort of the model
10 example of when ToxCast failed. Okay. And it's largely
11 because the screening assays used in ToxCast do not -- are
12 not able to do metabolic activation properly. And this is
13 a -- these nitrosamines require metabolic activation. And
14 so it's basically a failure. So it was positive in 2 out
15 of 276 assays, which is really surprisingly negative for
16 this compound.

17 The other thing I might mention -- so, clearly
18 this is consistent with other nitrosamines. It's a very
19 potent carcinogen in many species. One thing I might
20 point out to you is in -- something I believe is incorrect
21 in your metabolism pathway. That one 1,6-hexanediol is
22 not electrophilic and will not bind to DNA. If you go
23 back to the original paper, that's the metabolite which is
24 released after you do acid hydrolysis. So presumably
25 either your -- the hexanol derivative or probably

1 carbonium ion is the one that's actually binding to the
2 DNA or RNA in this case.

3 And then they treat it with concentrated
4 hydrochloric acid, which releases the hexanediol. So I
5 just the idea is that it's not the binding species. It's
6 the species which is adducted to the DNA. Okay.

7 But apart from this, obviously -- this is a very
8 strong positive and should be listed, in my opinion.

9 CHAIRPERSON MACK: All right. Mariana.

10 COMMITTEE MEMBER STERN: I don't have much to
11 add. I just want to emphasize the point that Joe made
12 that I think it's very compelling that four out five of
13 the chemotypes are already in Prop 65 list, and they share
14 the same tumor sites. So that makes it an even stronger
15 carcinogen.

16 CHAIRPERSON MACK: Peggy.

17 COMMITTEE MEMBER REYNOLDS: I really don't have
18 anything to add. I do want to mention that I actually
19 thought it was helpful that you added some of the evidence
20 from the European Chemicals Agency in their very recent
21 assessment of this as a category 1B carcinogen was helpful
22 as well.

23 CHAIRPERSON MACK: Luoping.

24 COMMITTEE MEMBER ZHANG: Yeah. Not much, but
25 thank you. Thank the staff. And again it's a very good

1 presentation. So in comparison with the first chemical,
2 it looks to me this one is a heavily, you know, focused on
3 the animal study, but I just -- I have a question
4 before -- one, another question is on the table. I
5 noticed the carcinogenicity study, the summary three
6 different species. And for the rats, you have experiment
7 seven, but it -- then the rats bioassay, then come to six.
8 So is that typo or is it some study?

9 DR. TSAI: No, it's not, because on the slide --

10 COMMITTEE MEMBER ZHANG: Okay. Can you explain
11 it to me. I just --

12 DR. TSAI: Yeah. On the slide, we didn't include
13 the subcutaneous injection study. That is one study
14 that's reported in German abstract with very limited
15 findings or information. So we excluded it from the study
16 overview. But it is included in the table 2 or in the
17 HID.

18 COMMITTEE MEMBER ZHANG: I see. Okay. So I
19 thought maybe some -- one study excluded.

20 So to me, this one, like in both in mice and the
21 rat studies, it's a single dose mostly. But still I think
22 for the data, it's still multiple species and the multiple
23 strains, and the multiple studies, and the both sex, even
24 though lots of cancers in the rare cancer. So I still
25 think it's pretty convincing.

1 But also I'm glad to see they have the hamster
2 studies with really multiple dose. So if without -- if it
3 was only -- you know, everything is only a single dose,
4 you know, compared with control, I would be a little bit,
5 you know, worried. So anyway. I think that's pretty
6 good.

7 CHAIRPERSON MACK: Thank you. Does anybody have
8 any final afterthoughts?

9 If not, is there anybody in the public who'd like
10 to stand up and vote?

11 I guess not.

12 So then it's time for another vote.

13 So the question is where is my -- where is my
14 cheat sheet?

15 There it is. There.

16 CHAIRPERSON MACK: Okay. Has
17 n-nitrosohexamethyleneimine been clearly shown through
18 scientifically valid testing, according to generally
19 accepted principles to cause cancer?

20 All of those voting yes, please raise your hand.

21 (Hands raised.)

22 CHAIRPERSON MACK: Voting no?

23 (No hands raised.)

24 CHAIRPERSON MACK: Again, unanimous.

25 And we've decided to list this compound as well.

1 those -- those testing endpoints.

2 --o0o--

3 CHIEF COUNSEL MONAHAN CUMMINGS: And the same
4 here for these two -- well, one chemical, and a class of
5 chemicals. These are recommended by DPR as still needing
6 certain testing. And so you can see those here. The
7 ~~strikeout~~ and underline are the things that we're adding
8 on this particular item.

9 --o0o--

10 CHIEF COUNSEL MONAHAN CUMMINGS: And on this
11 slide, you can see those chemicals the Department of
12 Pesticide Regulation believes need to be added --
13 additional endpoints for testing to the section 2700[sic]
14 list.

15 All right. So what we're asking this Committee
16 to do is since this is consent, would you consent to our
17 office adding and deleting the chemicals and endpoints
18 that need testing that were recommended by U.S. EPA and
19 DPR that are described in the staff report?

20 CHAIRPERSON MACK: Thank you, Carol.

21 Does anybody on the Committee have any specific
22 questions about the individual items or about the general
23 consent procedure?

24 It seems not.

25 So again, we have a standard question. Based on

1 the recommendations of the OEHHA staff report, should
2 section 27000 of Title 27 in the California Code of
3 Regulations be amended, as indicated in section 6 of the
4 staff report? All those voting yes, please raise your
5 hand.

6 (Hands raised.)

7 CHAIRPERSON MACK: All those voting no, raise
8 your hand.

9 (No hands raised.)

10 CHAIRPERSON MACK: And all of those abstaining.

11 (No hands raised.)

12 CHAIRPERSON MACK: So we unanimously agree to
13 amend the section 27000 as indicated.

14 CHIEF COUNSEL MONAHAN CUMMINGS: Thank you.

15 CHAIRPERSON MACK: Staff updates.

16 Julian.

17 MR. LEICHTY: Okay. Thanks. Since your last
18 meeting, we have added -- oh, thank you -- we've added
19 five chemicals to the list for the -- okay. Since your
20 last meeting, we have added five chemicals to the list for
21 the endpoints as shown, chlorpyrifos, n-hexane, vinylidene
22 chloride, TRIM VX and nickel (soluble compounds).

23 --o0o--

24 MR. LEICHTY: There are two chemicals under
25 consideration for administrative listing or modification

1 of existing listing. A notice of intent to modify the
2 listing of ethanol in alcoholic beverages was published on
3 August 3rd, 2018. This is proposed under the Labor Code
4 listing mechanism for the cancer endpoint.

5 A notice of intent to list bevacizumab was
6 published on October 5th, 2018. It is under consideration
7 for administrative listing under the formally required
8 mechanism for the female reproductive and developmental
9 endpoints.

10 --o0o--

11 MR. LEICHTY: Here you'll see the four safe
12 harbor levels we've adopted in regulation since your last
13 meeting. For malathion, a no significant risk level of
14 180 micrograms per day effective April 1st, 2018.

15 For glyphosate, a no significant risk level of
16 1100 micrograms per day adopted effective July 1st, 2018.
17 For Vinylidene chloride, a no significant risk level of
18 0.88 micrograms per day adopted effective July 1st, 2018.
19 And for metham sodium, a maximum allowable dose level of
20 290 micrograms per day adopted effective October 1st,
21 2018.

22 --o0o--

23 MR. LEICHTY: And finally, you'll see we proposed
24 safe harbor levels for three chemicals. No significant
25 risk levels for bromochloroacetic acid, and

1 bromodichloroacetic acid. And maximum allowable dose
2 levels for n-hexane by the oral and inhalation routes.

3 COMMITTEE MEMBER EASTMOND: Can I ask a question?

4 CHAIRPERSON MACK: Thank you, Julian.

5 COMMITTEE MEMBER EASTMOND: Tom, can I ask a
6 question?

7 CHAIRPERSON MACK: Oh, David.

8 COMMITTEE MEMBER EASTMOND: So I take it that
9 they've struck ethanol out of the ethanol in alcoholic
10 beverages. The proposal was to eliminate that. Is there
11 a reason for that? I mean, I thought the -- that's --

12 MR. LEICHTY: I'll defer to Carol.

13 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. So that's
14 a proposal right now that we have made to modify one of
15 the listings of alcohol under alcoholic beverages under
16 Prop 65. There's at least three other ones. So this is
17 based on the IARC -- a fairly recent monograph from IARC,
18 along with a couple of older monographs where they
19 initially had identified ethanol in alcoholic beverages as
20 causing cancer. And now they're just saying, as a general
21 rule, alcoholic beverages cause cancer.

22 So, you know, probably still the primary is
23 ethanol, but there are other chemicals in alcoholic
24 beverages that probably contribute to cancer. So it's
25 really more of a kind of a ministerial change to be

1 consistent with IARC.

2 COMMITTEE MEMBER EASTMOND: Okay. I mean,
3 because if anything, the most recent IARC review for me
4 emphasized that the ethanol was playing a critical role
5 through acid aldehyde. That was it, so...

6 CHIEF COUNSEL MONAHAN CUMMINGS: Um-hmm, right.

7 CHAIRPERSON MACK: Now

8 DIRECTOR ZEISE: Martha is going to --

9 DR. SANDY: And I'll just add that there also are
10 many other things in alcoholic beverages that are
11 carcinogens.

12 COMMITTEE MEMBER EASTMOND: Including
13 nitrosamines that we've been talking about.

14 (Laughter.)

15 CHAIRPERSON MACK: Carol, do you want to tell us
16 what danger we're in?

17 (Laughter.)

18 CHIEF COUNSEL MONAHAN CUMMINGS: Oh, sure. Yeah,
19 I think that actually it's OEHHA that's in the most danger
20 at the moment.

21 But this is the litigation update since your last
22 meeting. We had a State court case that had been filed
23 against the office by Monsanto, among others, regarding
24 our listing of glyphosate as a carcinogen under Prop 65.
25 That case has been resolved now in OEHHA's favor. Both

1 the trial court and the court of appeal agreed that the
2 chemical was properly listed, and the California Supreme
3 Court declined to take the case for review.

4 A related case is currently pending in the
5 federal trial court. That's called National Association
6 of Wheat Growers versus Dr. Zeise. And it's also related
7 to glyphosate. What's unusual about this, there's two
8 things. One is that we're in federal court. This is, as
9 far as I know, the first time a case has been filed
10 against OEHHA and the Attorney General's office in federal
11 court over Prop 65.

12 The reason that it's in federal court is that the
13 primary basis for the challenge is to the warnings for --
14 potential warnings for glyphosate. And the argument is
15 that those would violate the First Amendment rights of the
16 corporations and individuals that would have to give the
17 warning.

18 So currently, the federal court has granted a
19 motion for a stay of enforcement of the warning
20 requirement. That stay -- or that order is actually only
21 effective as to the Attorney General's office, because as
22 you may know, we don't enforce Prop 65. So actually Dr.
23 Zeise and our office have been dismissed from that case.

24 It is still pending in the federal court, but it
25 has been stayed waiting for a couple -- actually, I think

1 there's three now Ninth Circuit cases that deal with First
2 Amendment arguments in warning type regulations or
3 statutes. So until those cases are resolved by the Ninth
4 Circuit, the trial court in this case is not going to
5 proceed.

6 So back to the State courts. We have several
7 cases that are still on appeal. The American Chemistry
8 Council case against OEHHA regarding the listing of BPA is
9 still pending. It's been in the Court of Appeal since
10 2015. We're still waiting for a hearing date. It's been
11 fully briefed.

12 The second case against OEHHA by the American
13 Chemistry Council has to do with the listing of DINP by
14 this Committee, I believe.

15 DR. SANDY: Yes.

16 CHIEF COUNSEL MONAHAN CUMMINGS: And that one is
17 also still pending in the court of appeals since 2016.
18 It's fully briefed and we are waiting for a hearing date.
19 As you may know, the Courts of Appeal have to take
20 criminal cases first. They have limited resources, so the
21 civil cases tend to get pushed back.

22 Then the other case that's still pending in the
23 Court of Appeal is one filed by Syngenta company against
24 OEHHA for the listing of a group of a triazine pesticides.
25 That case is in the appeal court fully briefed waiting for

1 a hearing date.

2 There's two derivative cases to those that are in
3 the State court, but are not active, and that has to do
4 with PRA requests that are related to those two cases.

5 The newest case that we have was filed in
6 September of 2018. It was filed by an enforcement group
7 called CERT Center for Research on Toxics, and you may
8 have heard about that since you all received comments from
9 individuals on -- that are involved in that case. It has
10 to do with a proposed regulation that OEHHA has pending on
11 whether or not the -- whether coffee is -- causes a
12 significant risk of cancer.

13 We were sued in State court in Los Angeles. And
14 that is very much at the beginning stages of litigation.
15 We were just recently assigned to a new judge and will be
16 starting to hear motions and things like that starting
17 November the 21st.

18 So just as a reminder, there is a litigation hold
19 on your -- any documents you have or communications with
20 our office related to that case. And I can talk to you
21 offline if you have questions about that.

22 Any questions about these?

23 CHAIRPERSON MACK: David.

24 COMMITTEE MEMBER EASTMOND: Can I make a request?
25 Can you send out an email to us indicating the chemicals

1 that we need to be holding on to, these litigation holds?
2 Because I start forgetting them. You know, I got this
3 stuff piling up, and I like to throw stuff away. And I
4 don't remember which ones. You know, there's enough of
5 them now that it's kind of hard to keep track of it. So
6 if you --

7 CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

8 COMMITTEE MEMBER EASTMOND: -- could just kind of
9 say at least these are the ones you need to worry about
10 and --

11 CHIEF COUNSEL MONAHAN CUMMINGS: Sure. Off the
12 top of my head, I think you only have two for this
13 Committee, but I could be wrong. Some of them have been
14 released because the cases have been resolved, so make
15 sure that you know that.

16 COMMITTEE MEMBER EASTMOND: Okay. That's useful
17 to know.

18 (Laughter.)

19 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, I'll
20 follow up.

21 COMMITTEE MEMBER EASTMOND: It's better than
22 throwing away stuff.

23 CHIEF COUNSEL MONAHAN CUMMINGS: Yes, please.

24 CHAIRPERSON MACK: Thank you, Carol.

25 And I guess that concludes our business for the

1 day. Lauren.

2 DIRECTOR ZEISE: Okay. Thank you. I'll
3 summarize the Committee's actions for today. So the
4 Committee voted that gentian violet and
5 n-nitrosohexamethyleneimine were clearly shown through
6 scientifically valid testing, according to generally
7 accepted principles to cause to cause cancer.

8 And the Committee also amended -- recommended --
9 let's see based on the recommended -- recommendations in
10 the OEHHA staff report that section 2700[sic] of Title 27
11 in the California Code of Regulations be amended.

12 So that was a summary of the Committee's actions.

13 And I guess in closing, I'd just like to thank
14 the Committee so much for all of the time that you've
15 spent preparing for this meeting, for coming to the
16 meeting. It's all very much appreciated. So thank you.

17 And I'd also like to thank the staff for all of
18 the hard work they did to pull all of the information
19 together, their presentations, their hazard identification
20 work, all the preparation for the meeting and all the
21 preparation from the implementation staff and legal staff.
22 So again all very much appreciated.

23 And finally, I'd just like to thank also those in
24 the audience present and listening on the web for your
25 participation in our Proposition 65 CIC activities.

1 So thank you very much one and all. Safe
2 travels. And I'll turn it back over to Dr. Mack to
3 adjourn the meeting.

4 CHAIRPERSON MACK: Feliz año[sic] de la muerte.
5 It's the day of the dead. But with that, I'll just
6 complete the meeting and let's call it a day.

7 (Thereupon the Carcinogen Identification
8 Committee adjourned at 12:36 p.m.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E O F R E P O R T E R

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription;

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 12th day of November, 2017.

JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063